

US ENVIRONMENTAL PROTECTION AGENCY (EPA)  
FIFRA SCIENTIFIC ADVISORY PANEL (SAP)

MEETING ON CHLORPYRIFOS: ANALYSIS OF  
BIOMONITORING DATA.

FIFRA SAP WEB SITE

<https://www.epa.gov/sap>

DOCKET NUMBER: EPA-HQ-OPP-2016-0062

UNITED STATES ENVIRONMENTAL

PROTECTION AGENCY

CONFERENCE CENTER LOBBY LEVEL

ONE POTOMAC YARD (SOUTH BUILDING)

2777 SOUTH CRYSTAL DRIVE

ARLINGTON, VIRGINIA 22202

April 19 - 21, 2016

1                                   **DAY 1 - April 19, 2016**

2                                   **MR. FRED JENKINS:** Good morning,  
3 everyone. We're about to get started if I could ask  
4 everyone to please get seated.

5                                   Good morning again, everyone. I want  
6 to welcome everyone to this FIFRA Scientific Advisory  
7 Panel meeting. The topic of this particular meeting  
8 is Chlorpyrifos: Analysis of Biomonitoring Data.

9                                   My name is Fred Jenkins, and I am your  
10 Designated Federal Official for this meeting. Before  
11 we get started, I want to take a couple of minutes to  
12 go over a few administrative items.

13                                  As the DFO, I serve as the liaison  
14 between the panel and the Agency, and I'm responsible  
15 for ensuring that the provisions of the Federal  
16 Advisory Committee Act are met. I want to extend my  
17 thanks to this entire panel for agreeing to  
18 participate, as well to the public for coming.

19                                  The FIFRA SAP is a federal advisory  
20 committee that provides independent scientific peer  
21 review and advice to the Agency on pesticide issues.  
22 It's important to note that the panel only provides  
23 advice and recommendations to the EPA, and that all  
24 regulatory decision making and implementation

1 authority remains with the Agency.

2 We have worked with appropriate Agency  
3 ethics officials to ensure that all appropriate ethics  
4 regulations are satisfied for this meeting.

5 Panel members have been provided the  
6 provisions of the Federal Conflict of Interest Laws,  
7 and each participant has filed a financial disclosure  
8 report. I, along with our Deputy Ethics Officer, and  
9 in consultation with the Office of General Counsel,  
10 have reviewed those reports to ensure all ethics  
11 requirements are met.

12 This meeting provides an opportunity  
13 for public comment. We will have several public  
14 commenters who will be speaking during the public  
15 comment period. If you have not made prior  
16 arrangements and you wish to make public comments,  
17 please let me know or someone else with the FIFRA SAP  
18 office staff. Those are my colleagues seated here to  
19 my right. If you want to provide public comments  
20 without having made prior arrangements, we ask that  
21 you please limit your comments to five minutes.

22 There is a public docket for this  
23 meeting. The docket number is listed on the agenda.  
24 All background materials and other related documents

1 are available in the docket. Slides of EPA  
2 presentations that you will see will be available in  
3 the docket as soon as possible.

4 At this point, I want to introduce and  
5 extend my thanks to Dr. Jim McManaman to my left, who  
6 is serving as the session chair of this FIFRA SAP  
7 meeting. Thank you.

8 **DR. JAMES MCMANAMAN:** Good morning,  
9 everyone. This looks to be an exciting meeting, and I  
10 welcome everyone on behalf of the panel.

11 I am a professor of reproductive  
12 sciences at the University of Colorado School of  
13 Medicine, and I have expertise in neuroscience and  
14 developmental biology. I will ask each panel member  
15 to introduce themselves and say a bit about their  
16 expertise.

17 **DR. DAVID JETT:** Good morning. I am  
18 Dave Jett. I am the Director of the Countermeasures  
19 Against Chemical Threats program at the National  
20 Institutes of Health. My expertise is in  
21 neurotoxicology, specifically developmental  
22 neurotoxicology. And in my previous university  
23 professor life, I did study chlorpyrifos for a while.

24 **DR. MARION EHRICH:** Marion Ehrich. I'm

1 from the College of Veterinary Medicine, Virginia-  
2 Maryland College of Veterinary Medicine, Blacksburg,  
3 Virginia. I teach both pharmacology and toxicology  
4 and help run our toxicology diagnostic laboratory. I  
5 work with pesticides as well.

6 **DR. SONYA SOBRIAN:** Good morning. I am  
7 Sonya Sobrian from the Howard University College of  
8 Medicine Department of Pharmacology. I am a  
9 developmental neuropharmacologist.

10 **DR. ALVIN TERRY:** I am Alvin Terry. I  
11 am the chair of the Department of Pharmacology and  
12 Toxicology at the Medical College of Georgia, which is  
13 now part of a larger university called Augusta  
14 University.

15 **DR. LISA SWEENEY:** I am Lisa Sweeney.  
16 I am a scientist with the Henry M. Jackson Foundation  
17 for the Advancement of Military Medicine. I am at  
18 Wright-Patterson Air Force Base in Dayton, Ohio,  
19 working for the Navy. My expertise is in  
20 pharmacokinetic modeling and dose-response assessment.

21 **DR. SHARON SAGIV:** I am Sharon Sagiv.  
22 I am on the faculty of University of California at  
23 Berkeley. I am an environmental epidemiologist, and  
24 my research interests are on toxicant exposures in the

1 prenatal and early life period and neurodevelopment.

2 **DR. DIANE ROHLMAN:** I am Diane Rohlman.

3 I'm at the University of Iowa in the Department of  
4 Occupational and Environmental Health. My research  
5 expertise is in neurobehavioral testing, looking at  
6 health effects from exposure.

7 **DR. WILLIAM POPENDORF:** I am Will

8 Popendorf, a retired professor of industrial hygiene,  
9 Utah State University, with exposure assessment work  
10 in pesticides going back well over 40 years.

11 **DR. ISAAC PESSAH:** Good morning. I am

12 Isaac Pessah at the University of California, Davis,  
13 School of Veterinary Medicine. I'm a professor of  
14 toxicology with an expertise in in vitro molecular and  
15 cellular toxicology.

16 **DR. STELLA KOUTROS:** Hi. My name is

17 Stella Koutros. I am an epidemiologist at the  
18 National Cancer Institute in the Division of Cancer  
19 Epidemiology and Genetics, and my expertise is in  
20 occupational environmental risk factors for cancer,  
21 including the effects of pesticide exposure and  
22 cancer.

23 **DR. WILLIAM HAYTON:** Good morning. I

24 am William Hayton, a retired professor of pharmacy

1 from Ohio State University with expertise in  
2 pharmacokinetics, pharmacokinetic models.

3 **DR. WILLIAM FUNK:** Good morning. I am  
4 Bill Funk. I am a faculty at Northwestern University  
5 in the School of Medicine, and my lab develops and  
6 applies biomarker methods for assessments.

7 **DR. JEFFREY FISHER:** Good morning. My  
8 name is Jeff Fisher. I am with the FDA at National  
9 Center for Toxicological Research in Arkansas, and my  
10 area of expertise is in PBPK modeling.

11 **DR. RUSSELL CARR:** Russell Carr. I am  
12 a developmental neurotoxicologist from the College of  
13 Veterinary Medicine at Mississippi State University,  
14 and I've got a long history working with  
15 organophosphates.

16 **DR. JAMES MCMANAMAN:** Okay. We have  
17 one panel member that is on the phone, Dr.  
18 Georgopoulos. Could you introduce yourself?

19 **DR. PANOS GEORGOPOULOS:** Yes. I'm  
20 Panos Georgopoulos. I am a professor at the  
21 Environmental and Occupational Health Sciences  
22 Institute at Rutgers, the State University of New  
23 Jersey, and my expertise is in exposure modeling  
24 including pharmacokinetics and dosimetry.

1                   **DR. JAMES MCMANAMAN:** Thank you. With  
2 that introduction, we will begin the opening statement  
3 with the EPA. Doctor Housenger.

4                   **DR. JACK HOUSENGER:** Thank you. It's a  
5 long line of welcomes, but let me welcome everybody as  
6 well, particularly the panel for taking the time and  
7 commitment to advise us on this important issue.  
8 There's a lot of stakeholder and public interest in  
9 this, and I welcome those people in sitting through  
10 and listening to the deliberations over the next few  
11 days.

12                               Finally, for Fred, our Designated  
13 Federal Official, the SAP staff for putting this  
14 together, and my staff, not only the ones here on my  
15 right, but the people in ORD that have been involved  
16 in putting together this presentation. It's a lot of  
17 work, and as I'm going to mention a number of times,  
18 it's under a deadline that we have to meet, a court-  
19 ordered deadline.

20                               This meeting is important in a number  
21 of aspects. Number one, chlorpyrifos is a very  
22 important agricultural chemical. It's the number one  
23 insecticide used in the United States. And how we're  
24 planning on evaluating the data is important about the



1 fate of chlorpyrifos, and ultimately how we regulate  
2 it. So we want to ensure that our approach for  
3 evaluating the study before us, the Columbia study,  
4 and how we use the information is scientifically  
5 valid.

6 We've been to the panel a number of  
7 times on chlorpyrifos regarding the Columbia study, I  
8 think twice before, and also on the PBPK model and  
9 gotten the advice of the panel on it. We've taken the  
10 past advice on how to use the study, and we have taken  
11 this -- and how we move the science forward, which  
12 we'll be discussing over the next few days.

13 The issues that we will be bringing  
14 forth are complex. It's doing business in a way we  
15 typically have not been. So in a lot of ways, this is  
16 groundbreaking.

17 Like I mentioned, we are under a court-  
18 ordered deadline, and this was set in response to a  
19 public petition for us to revoke all tolerances for  
20 chlorpyrifos and cancel all registrations. In  
21 November of last year, based on a 2014 Human Health  
22 Risk Assessment in response to this deadline, we  
23 proposed to revoke tolerances for chlorpyrifos because  
24 they did not meet the standard for safety as set under

1 the Federal Food, Drug, and Cosmetic Act. At that  
2 time, our point of departure was acetylcholinesterase  
3 inhibition.

4 The dietary risks at that time were  
5 driven largely by exposure to water and water that had  
6 been contaminated with chlorpyrifos for use. FFDCA,  
7 the Federal Food, Drug, and Cosmetic Act, requires us  
8 to conduct an aggregate risk of food and water when we  
9 are making our safety determinations. Like I said,  
10 water was the driver at that point.

11 While we would have preferred to  
12 complete further analysis on our assessments, the  
13 court-ordered deadline did not allow us time for that  
14 to happen. So in our proposal to revoke the  
15 tolerances, we specified the remaining components of  
16 our science assessments. The most critical of the  
17 pieces will be the ones that we are discussing today,  
18 the biomonitoring data, which we have used to derive a  
19 point of departure, which is fundamentally different  
20 from our earlier assessment.

21 As the panel convenes over the next few  
22 days, we ask you to keep in mind that the  
23 recommendations provided must be incorporated into our  
24 Human Health Risk Assessment, which will support our

1 final decision, and that final decision has to be made  
2 by the end of this year. So our work is cut out for  
3 us, and certainly yours is, too. And we look forward  
4 to your advice and recommendations over the next few  
5 days.

6 **DR. JAMES MCMANAMAN:** Thank you, Mr.  
7 Housenger. Do panel members have any questions for  
8 him at this point?

9 (Whereupon, there was no response.)

10 **DR. JAMES MCMANAMAN:** Okay. Next up is  
11 Ms. Vogel.

12 **MS. DANA VOGEL:** Good morning. My name  
13 is Dana Vogel, and I am the Director of the Health  
14 Effects Division in the Office of Pesticide Programs.  
15 My presentation today is going to give you an overview  
16 of the regulatory history of chlorpyrifos,  
17 highlighting the extensive scientific analysis we've  
18 done, including the multiple peer reviews that we have  
19 taken over the past approximately nine years or so.

20 So to begin, chlorpyrifos is an  
21 organophosphate pesticide that was first registered in  
22 1965. Skipping forward, in June of 2000, there was a  
23 proposal -- we entered into an agreement with the  
24 technical registrants to eliminate and phase out

1 pretty much all the residential uses of chlorpyrifos.  
2 That would include the indoor uses in homes or in  
3 apartments, that type of use. There was also some  
4 mitigation done at that point in time on certain foods  
5 as well as some mitigation that was done for worker  
6 safety, including the addition of some personal  
7 protective equipment and engineering controls for some  
8 uses.

9 The next regulatory milestone is we  
10 issued our registration eligibility document in 2006.  
11 And in September of 2007, we received a petition from  
12 NRDC and PANNA to revoke all tolerances and cancel the  
13 registrations for chlorpyrifos.

14 So now I'm going to talk a little bit  
15 about, as we move forward since 2007, all the  
16 different peer reviews that we have taken this  
17 chemical to. The first one that I will note today was  
18 the 2008 Scientific Advisory Panel where we presented  
19 an issue paper updating the human health effects for  
20 chlorpyrifos, and this was focused on new science that  
21 relates to infants and children and pregnant women,  
22 and it was based on a review of experimental  
23 laboratory toxicology data on animals as well as  
24 epi studies that had become available since the

1 issuing of the 2006 RED. The focus at that point was  
2 evaluating both acetylcholinesterase and non-  
3 cholinergic modes of action.

4 The next SAP we had related to  
5 chlorpyrifos was in 2009 where we brought approaches  
6 for risk assessment for semi-volatile pesticides. And  
7 this SAP was focused on toxicity and exposure  
8 methodologies that we were using and proposing to  
9 evaluate bystander exposure that people may have  
10 experienced for volatilization exposures of chemicals,  
11 conventional chemicals that were applied that may re-  
12 volatilize, and then we were trying to put together  
13 some approaches for how we would do those kind of  
14 assessments.

15 In 2010, we brought a very important  
16 SAP, our draft framework for how we would incorporate  
17 human epi and incident data into our risk assessment.  
18 And this was a very important SAP. It was the  
19 conceptual foundation of how we would evaluate  
20 multiple lines of evidence and integrate  
21 epidemiological and incidence data into our risk  
22 assessments using a weight of evidence approach and  
23 adhering to the principles of systematic review.  
24 Moving on, as you can see, there were many peer

1 reviews that have happened for this chemical over the  
2 years. In 2011, we brought the chlorpyrifos PBPK  
3 model and its linkage to the CARES model, which is a  
4 probabilistic exposure and risk assessment model. So  
5 here we are linking the hazard and the exposure  
6 together.

7 In 2012, subsequent to the 2011 SAP, we  
8 also did a paper review to help us better understand  
9 some of the results from the different epidemiological  
10 cohort studies. We did a paper review of MRI and  
11 neurobehavioral experts to see if there were certain  
12 things we wanted to understand better the results of  
13 some of the publications. And in 2012, as we did  
14 after the RED, we again took a closer look and a more  
15 in-depth look at the science as it relates to infants,  
16 children, and pregnant women, pulling together again  
17 and taking a closer look at the experimental lab data  
18 as well as epidemiological studies.

19 So in 2014, as Jack mentioned, I  
20 believe we issued our revised risk assessment for  
21 chlorpyrifos. This risk assessment was issued in late  
22 December. It was focused at this point on the red  
23 blood cell acetylcholinesterase inhibition as the  
24 critical affect for our point of departure. It also

1 made use of PBPK model, and we used the PBPK model to  
2 derive human specific points of departure for  
3 different age groups, different routes, and different  
4 duration of exposure. So the PBPK model was also used  
5 to derive an intra-species factor for some life stage,  
6 not including women of childbearing age.

7 And we also at this point in the 2014  
8 risk assessment, we retained the FQPA 10X safety  
9 factor, and that was principally due to the  
10 uncertainty that we had related to the epi studies and  
11 the potential for neurodevelopmental effects that were  
12 seen through those studies.

13 So as I mentioned, we were working on  
14 the risk assessment, and at the same time while we  
15 were working on the risk assessment that was due  
16 through our registration review program, we were also  
17 at the same time working on our response to the PANNA  
18 and NRDC petition to revoke all tolerances. Both that  
19 work is very complementary, so it has been going on in  
20 parallel.

21 Getting into a little bit of the timing  
22 of the petition response, as Jack mentioned in his  
23 comments, in 2015, the Ninth Circuit ordered us to  
24 respond to the petition by the end of October of 2015

1 and issue any final actions by the end of this coming  
2 December. So that's pretty much what is motivating us  
3 to finish all the work we have by the end of the year.

4 At that point in time, when we  
5 responded in October, what we did was we used the  
6 basis of that was the 2014 risk assessment. In late  
7 2015, we did propose to revoke all chlorpyrifos  
8 tolerances based on the 2014 risk assessment because  
9 there were risks of concern noted in that assessment.

10 We also noted, as Jack mentioned, that  
11 there was some additional science work we were working  
12 on, and that would be continuing to go on and we would  
13 plan to complete that prior to the end of the year.  
14 That science work is what you are going to be seeing  
15 here today in our analysis that we have done in part.

16 I'm going to step back just for a  
17 moment to our most recent 2012 FIFRA SAP, as I believe  
18 it's important to go over some of the details of what  
19 was said in 2012 from the SAP and how it laid the path  
20 for it for the analysis that you are seeing today.

21 So just a couple of quotes here from  
22 the 2012 SAP. They did -- I'm sorry. I think I  
23 skipped ahead a little bit on my slides. In 2012, the  
24 panel did acknowledge the limitations of these three



1 longitudinal children's cohort studies that you will  
2 be hearing a lot about today, that being the Columbia,  
3 the Mt. Sinai, and the CHAMACOS. But they were also  
4 in general agreement that the data from these studies  
5 alone were not sufficient to derive a point of  
6 departure.

7 I will note that since then, we have  
8 gotten some new information, and we have also some new  
9 science available, new analysis that we believe  
10 enables us to reduce some of the uncertainties with  
11 the biomonitoring data and use it in a different way.  
12 And that is, again, what you will be hearing about  
13 through this current SAP.

14 Moving on to my next slide, as you can  
15 see some additional quotes, while they did acknowledge  
16 the limitations, the SAP also encouraged and urged the  
17 Agency to explore additional ways to use these studies  
18 to inform the chlorpyrifos risk assessment, especially  
19 in particular, they noted the Columbia data. And the  
20 quote is there.

21 They also encourage us to make use of  
22 the PBPK model to further characterize the Columbia  
23 dose estimates, and you will see that acronym  
24 throughout the day today, CCCEH; and when we discuss

1 that, we will be talking about the Columbia data.

2 So following up on the recommendations  
3 from the 2012 SAP, we have been working on  
4 chlorpyrifos. We pretty much never stopped working on  
5 chlorpyrifos, to some extent. What we have done here  
6 is our latest science analysis where we are using the  
7 PBPK model. And EPA's exposure assessment approaches  
8 that we use throughout our day-to-day, our regular  
9 risk assessment work, these exposure assessment  
10 approaches have been extensively peer-reviewed. They,  
11 themselves, have been brought to many SAPs. We're  
12 using them in a way to look at the -- we're using them  
13 with the PBPK model, and believe the results provide  
14 support for using the cord blood data for our point of  
15 departure.

16 You will also hear about today some  
17 additional information on why we think that's  
18 appropriate and some case studies that we have  
19 developed to illustrate how we are using -- how the  
20 PBPK model could be used to predict internal dose from  
21 existing potential chlorpyrifos exposures.

22 So our outline of presentations for  
23 today, next you're going to hear from Beth Holman who  
24 will discuss the epi literature review that we have

1 done. We will move on to Cecilia Tan who will explain  
2 her work that she has done with the PBPK model. And  
3 then we will have some evaluations of the Columbia  
4 blood data and predicted exposure through the  
5 different exposure pathways from Wade Britton,  
6 Rochelle Bohaty, and Danette Drew. And then we'll end  
7 the day, hopefully, with Dr. Anna Lowit talking about  
8 our point of departure, uncertainty factors, FQPA, and  
9 case studies. So that is our plan for the day.

10 **DR. JAMES MCMANAMAN:** Thank you. Any  
11 questions for Ms. Vogel?

12 (Whereupon, there was no response.)

13 **DR. JAMES MCMANAMAN:** All right. So  
14 let me encourage anyone who is using a phone to mute  
15 them. As you've noticed, we have had some  
16 interruptions in the presentations. So please mute  
17 your phones. Thank you.

18 So the next presentation is Dr. Holman.

19 **DR. ELIZABETH HOLMAN:** Good morning.  
20 My name is Beth Holman. I work as a scientist in the  
21 Health Effects Division in the Office of Pesticides.  
22 I'm going to be giving you an overview of EPA's  
23 epidemiological literature review today.

24 First, just to give you an overview of

1 my presentation, first I'm going to be giving you some  
2 additional background and history of EPA's review.

3 Second, I will be giving you an overview of the three  
4 U.S. prospective cohort studies that are the main  
5 focus of the epidemiological literature review.

6 Third, I will be talking about the specific focus of  
7 this literature review in terms of the specific  
8 adverse health outcomes that we will be focusing on in  
9 our assessment. Then I will be talking about the  
10 overall synthesis of the epidemiological literature  
11 review. And finally, I will be talking about some of  
12 the specific results coming out of Columbia  
13 University's prospective cohort study since they are  
14 the primary focus of the remainder of our analysis and  
15 presentations.

16 So first, just some additional  
17 background and history. In 2008, the FIFRA SAP was  
18 involved with a preliminary review of the literature  
19 for chlorpyrifos with a particular focus on women and  
20 children. They concurred with EPA's conclusion that  
21 chlorpyrifos likely played a role, but was not the  
22 sole contributor to the neurodevelopmental outcomes  
23 that were seen in the three U.S. prospective cohort  
24 studies. They also stated that epidemiology studies

1 should not be considered as the basis for  
2 characterizing the point of departure.

3           Then in 2010, as noted previously, OPP  
4 developed a draft "Framework for Incorporating Human  
5 Epidemiologic & Incident Data in Health Risk  
6 Assessment." This framework provides a foundation for  
7 evaluating these multiple lines of scientific  
8 evidence, including epidemiology data. The two key  
9 components of this framework are problem formulation  
10 and the use of modes of action/adverse outcome  
11 pathways. Again, this framework was reviewed  
12 favorably by the SAP in 2010. It is also worth noting  
13 that this framework is consistent with updates to the  
14 World Health Organization's mode of action/human  
15 relevance framework.

16           Next, as noted previously, in 2011, the  
17 Agency released our preliminary Human Health Risk  
18 Assessment for chlorpyrifos with us focusing on the  
19 acetylcholinesterase inhibition potential for  
20 chlorpyrifos. This is consistent with the  
21 recommendation from the 2000 SAP that this was the  
22 most appropriate endpoint for setting the point of  
23 departure for the purposes of risk assessment.

24           Also in 2011, again, the PBPK model was

1 reviewed by the FIFRA SAP. And we will be talking  
2 about this more in our later presentations.

3 Next, in 2012, EPA updated and expanded  
4 their review of epidemiology data. This included  
5 adding papers related to the intelligence quotient as  
6 well as new methodological papers to address  
7 measurement error, including socioeconomic status as  
8 well as trying to characterize and further validate  
9 the studies. And, again, this 2012 update used the  
10 draft epidemiological framework analysis approach.

11 In 2012, the SAP concurred with EPA and  
12 the 2008 SAP conclusion that chlorpyrifos likely  
13 played a role in these neurodevelopmental outcomes  
14 that were seen in the three U.S. cohort studies.

15 Then in 2014, we issued our updated  
16 draft Human Health Risk Assessment which included  
17 retaining the 10X FQPA [sic] safety factor.

18 In 2015, EPA conducted an updated  
19 epidemiological literature which expanded our  
20 assessment beyond chlorpyrifos to other  
21 organophosphates, or OPs. But to be clear, this did  
22 not change our overall 2014 conclusions.

23 Just to be more clear about what was  
24 done in our different assessments, in our 2012/2014

1 epidemiology data review, the assessment focused  
2 exclusively on chlorpyrifos with the three U.S.  
3 cohorts being the primary basis of this assessment,  
4 specifically, the Mt. Sinai, Columbia, and CHAMACOS  
5 cohort. Again, the 2012 SAP review concurred with our  
6 conclusion that these three cohorts were the most  
7 robust available evidence. All of this is captured in  
8 the 2014 updated revised Human Health Risk Assessment  
9 for chlorpyrifos.

10 Then in 2015, we expanded our review  
11 beyond chlorpyrifos to other OPs. This included  
12 adding new results from the three U.S. cohorts. In  
13 addition, we expanded our review to include study  
14 designs to include non-U.S.-based studies as well as  
15 other U.S. -- as well as other study designs.  
16 Specifically, we added one cohort from Mexico, one  
17 U.S. case control study, and four cross-sectional  
18 studies: one from the U.S., two from China, and one  
19 from Canada. All of this is captured in our 2015  
20 updated literature review.

21 So based on the 2012 SAP review and the  
22 available studies that were assessed in 2015, we  
23 continue to conclude that the data from the three U.S.  
24 cohort studies are the most robust available

1 epidemiology data.

2 Before I tell you more about this 2012  
3 review, I just want to tell you a little bit more  
4 about these three U.S. cohort studies. First, some  
5 definitions.

6 DAPs are dialkyl phosphate metabolites.  
7 These are metabolites of multiple organophosphates and  
8 are therefore a non-specific measure of OP exposure.

9 Second, TCPy is a chlorpyrifos  
10 metabolite.

11 And third, PON1 is a genotype  
12 expression.

13 So, again, the three prospective birth  
14 cohort studies were designed to examine environmental  
15 exposures and adverse health outcomes. Specifically,  
16 the Columbia cohort was conducted from 1998 to 2004,  
17 and, again, is denoted as CCCEH in this presentation.  
18 It was conducted in New York City. It includes a  
19 multi-ethnic population, and they primarily tested for  
20 chlorpyrifos in cord blood collected at birth.

21 The Mt. Sinai cohort was from 1998 to  
22 2001, again, from New York City, multi-ethnic  
23 population. They tested for TCPy as well as DAPs and  
24 PON1.



1                   Finally, the University of California,  
2 Berkeley, known as CHAMACOS, was conducted from 1999  
3 to 2002. This cohort was conducted in a California  
4 agricultural region with a Mexican-American study  
5 population. It focuses on the children of  
6 agricultural workers. And, again, like Mt. Sinai,  
7 they tested for TCPy, DAPs, and PON1.

8                   In terms of some additional  
9 characteristics of these populations, they generally  
10 needed to seek prenatal care early in their pregnancy;  
11 there was a residency requirement; and they had to  
12 deliver at participating hospitals. Overall, they  
13 needed to have a low prevalence of risky health  
14 behaviors and comorbidities, including no history of  
15 diabetes, hypertension, HIV, or history of birth  
16 defects, and no smoking or illegal drug use. Again,  
17 this is a multi-ethnic population, and, overall, these  
18 are younger mothers with an average age of about 25  
19 years.

20                   Next, getting back to the 2012 SAP  
21 review of these studies, these are three quotes from  
22 the SAP's review. Overall they concluded that the  
23 Agency's epidemiological review was very clearly  
24 written, accurate, and generally provided a thorough

1 review of the literature. Again, the 2012 review  
2 concurred with the 2008 SAP and Agency conclusion that  
3 chlorpyrifos likely played a role in the  
4 neurodevelopmental outcomes seen in these three U.S.  
5 cohort studies. Overall, they noted nine strengths in  
6 the cohort studies. And, again, this is a quote from  
7 the SAP review. Again, they noted nine key strengths,  
8 and I just want to highlight a few of them.

9 One is the longitudinal design, which  
10 allows us to have a clear understanding of the  
11 temporal relationship between chlorpyrifos exposure  
12 and these adverse neurodevelopmental outcomes.  
13 Second, the use of biomarkers as well as self-reported  
14 exposure. Fourth [sic], the relative consistency of  
15 findings across populations. Fourth, the strength of  
16 the associations found across the studies.

17 Continuing on, in addition, I also want  
18 to note that they noted as a strength the control of  
19 multiple confounding variables including other  
20 environmental exposures and other pesticides. And  
21 also just to highlight, they noted a strength of the  
22 minimization of bias in assessing these outcomes and  
23 exposures and the likelihood that this would bias the  
24 results towards the null and have the potential to

1 underestimate the effect overall.

2 Also just to note, there are, of  
3 course, uncertainties associated with these cohort  
4 studies, and these will be the focus of -- we will be  
5 discussing these uncertainties in detail in our later  
6 presentations.

7 Before going any further, I want to be  
8 clear about which specific adverse health outcomes we  
9 have focused our epidemiological literature review.  
10 Multiple outcomes were assessed in the studies,  
11 including fetal growth, neonatal neurological  
12 development, infant motor and mental development,  
13 attention disorders, autism spectrum disorders, and  
14 intelligence measures. Consistent with the 2008 and  
15 2012 SAP evaluations, we are focusing on this analysis  
16 on neurodevelopmental outcomes. So basically, we are  
17 not focusing on fetal growth. We are focusing on the  
18 other five measures that are listed here.

19 The reason we are not focusing our  
20 assessment on fetal growth is that there has been  
21 inconsistent evidence of OP exposure and an  
22 association with adverse birth outcomes across these  
23 three cohort studies. Specifically, the Columbia  
24 University Study observed an association of an inverse

1 association. They saw that with increasing cord blood  
2 chlorpyrifos, this was associated with decreased  
3 measurements of birth weight and birth length.

4 In contrast, in the Mt. Sinai and the  
5 CHAMACOS cohorts, they either saw no association  
6 between the exposure and these outcomes, or they saw  
7 evidence of a positive relationship, i.e., that  
8 increasing DAPs resulted in higher birth weight or  
9 higher birth length.

10 Given the lack of consistency across  
11 the cohorts for these growth metrics, we consider  
12 these, the link between fetal growth and IP exposure,  
13 to be tenuous. We continue to monitor the literature  
14 for these fetal growth outcomes. But, again, they are  
15 not the primary focus of our assessment.

16 Next, I just want to give you an  
17 overview of the specific methods and analysis used in  
18 these studies, including exposure assessment,  
19 confounding adjustment, statistical analysis, and  
20 overall bias considerations.

21 In terms of the exposure assessment,  
22 the cohorts were looking at differing exposure  
23 profiles, pathways, and routes. They were using  
24 multiple biomarkers to reflect different windows of

1 exposure. Again, DAPs are a non-specific measure of  
2 OP exposure, and TCPy is a chlorpyrifos metabolite.

3 Across each cohort, a self-report  
4 questionnaire was collected as well as TCPy and urine.  
5 In Columbia, as part of their validation study, they  
6 collected TCPy -- TCPy and meconium, as well as tested  
7 for chlorpyrifos in air. However, the primary focus  
8 of Columbia's study is chlorpyrifos in cord blood  
9 collected at birth.

10 In the CHAMACOS cohort, they also  
11 collected acetylcholinesterase and butyl  
12 cholinesterase in cord blood. And then, again, Mt.  
13 Sinai and CHAMACOS, both tested for DAPs in urine  
14 using -- DAPs in urine were tested for using CDC  
15 methods, and both of these cohorts also tested for  
16 PON1 genotype and phenotype expression. But to be  
17 clear, the only cohort study which tested directly for  
18 chlorpyrifos is Columbia.

19 Next, in terms of the overall methods  
20 used to control confounding in these studies, at the  
21 individual level, they used statistical adjustment to  
22 account for a number of variables such as race and  
23 education. They also tested for statistical  
24 interactions. In addition, in the Columbia University

1 Study, they conducted a multilevel model, which  
2 allowed them to account for group and individual level  
3 variability for socioeconomic status.

4 Finally, it's important to note that by  
5 design, these cohort studies have an ability to  
6 control for confounding. Specifically, biomonitoring  
7 data were collected from individuals within each  
8 cohort. The unexposed children in the epidemiology  
9 studies are those whose biomonitoring data are low and  
10 often below the limit of detection. Therefore, the  
11 unexposed children are derived from the same  
12 populations and location in the same living and  
13 economic conditions as those exposed or highly exposed  
14 children are.

15 So in this way, important issues such  
16 as socioeconomic status are similar across the entire  
17 group of both the exposed and unexposed.

18 This slide just summarizes some of the  
19 specifics of the variables considered in these studies  
20 in terms of the confounding variables. Again,  
21 demographic information, including socioeconomic  
22 status. They also took a look at the stimulation in  
23 the child environment and in the postnatal  
24 environment. In addition, in one or more of the

1 studies, other pesticides were assessed, including  
2 diazinon, propoxur, and pyrethroid pesticides, as well  
3 as other exposures, including polyaromatic  
4 hydrocarbons and lead.

5 In terms of the statistical analysis  
6 conducted in the studies, the decisions were  
7 appropriate to the research questions and  
8 characteristics of the data. This included having to  
9 account for missing data when needed as well as  
10 accounting for cases where the measurements were below  
11 the limit of detection, or LOD.

12 EPA considers the sample size of these  
13 studies to be adequate to assess the main effects that  
14 were being studied in these cohort studies. But we do  
15 acknowledge they were likely underpowered to evaluate  
16 effect modification or interactions.

17 In addition, sensitivity analyses were  
18 conducted to evaluate alternative modeling options  
19 such as loss to follow up, where study participants  
20 were in those studies at the beginning of the study,  
21 but they were lost and did not continue with the  
22 studies later on.

23 Next, this slide summarizes some of the  
24 different threats to validity and why EPA believes

1 these are strong studies. Specifically, in terms of  
2 selection bias, the retention rate was moderately high  
3 for birth cohorts, 60 to 80 percent at age 7 years of  
4 the child. They also compared included individuals  
5 versus excluded individuals and concluded they were  
6 generally comparable.

7 Next, in terms of information bias,  
8 they took efforts to evaluate the potential for error  
9 in outcome and exposure measurements. Again, by  
10 design, these studies do reduce the potential for  
11 differential thought bias.

12 In addition, it is noted that  
13 Columbia's validation study further increases our  
14 confidence in this study data.

15 And, finally, the confounding, which,  
16 again, was controlled for using a number of different  
17 methods, as summarized previously.

18 Next, moving on to the specific adverse  
19 health outcomes that we're looking at across these  
20 three U.S. cohort studies, again, we are looking at  
21 neonatal neurodevelopment, infant neurodevelopment,  
22 attention problems, autism spectrum disorders, and  
23 intelligence measures. In this table, we've listed  
24 whether or not the specific study assessed the outcome



1 or not.

2 With the exception of neonatal  
3 neurodevelopment where Columbia did not assess these  
4 outcomes, and attention problems where Mt. Sinai did  
5 not assess these outcomes, these five outcomes were  
6 assessed across all three U.S. cohort studies.

7 Next, I'm going to give you a brief  
8 overview of the specific measurement techniques that  
9 were used to test for the specific health outcomes.  
10 First, neonatal neurodevelopment.

11 This was done using the Brazelton  
12 Neonatal Behavioral Assessment Scale, or BNBAS. These  
13 were assessed two-to five-days postpartum. They were  
14 conducted by trained neonatologists in a hospital  
15 setting. Again, these measurements were only made in  
16 the CHAMACOS and Mt. Sinai cohorts.

17 Moving on to infant neurodevelopment,  
18 this used the Bayley Scales of Infant Development.  
19 This assesses for both mental and psychomotor  
20 development, and it was assessed at six to 36 months  
21 across the cohorts. Again, it was measured in all  
22 three U.S. cohorts.

23 Moving on to attention problems, a  
24 combination of tools was used across these studies.

1 First, a combination of maternal report and direct  
2 observation using a number of different methods; and  
3 then, second, using DSM-IV ADHD symptoms. These were  
4 assessed at three, three-and-a-half, and five years in  
5 the CHAMACOS and Columbia cohorts. Again, these were  
6 not assessed in the Mt. Sinai cohorts.

7 Moving on to autism spectrum disorders,  
8 these were assessed in all three U.S. cohorts. It's  
9 important to note here that the recently updated DSM-V  
10 defines the autism spectrum disorder, or ASD, and this  
11 disorder now encompasses several disorders that were  
12 different diagnoses under DSM-IV. Under DSM-IV, there  
13 was the pervasive development disorder, or PDD, which  
14 was a catch-all where the other categories did not  
15 fit. So depending on which study you're looking at,  
16 the authors may use the PDD or the ASD criteria and  
17 terminology.

18 Moving on to intelligence measures,  
19 this was again assessed in all three U.S. cohorts.  
20 These measures were assessed using the Weschler  
21 Intelligence Scale for Children, specifically verbal  
22 comprehension, perceptual reasoning, Working Memory,  
23 processing speed, and Full-Scale IQ. These were  
24 standardized across the U.S. population and were

1 assessed at ages six to nine years.

2 Moving on to the results from these  
3 studies, I'm going to be giving you a summary, high-  
4 level summary of the results from the three U.S.  
5 cohort studies, which are, again, the primary basis of  
6 EPA's conclusions with regard to the epidemiological  
7 literature review. In addition, I will be summarizing  
8 the results from the 2015 updated literature review,  
9 which we consider to be supporting data.

10 First, neurological effects near birth.  
11 This was assessed in the CHAMACOS and Mt. Sinai  
12 cohorts. And, again, it was not assessed in the  
13 Columbia cohort. Overall, they observed associations  
14 between prenatal DAPs and these neurological effects  
15 near birth.

16 In addition, in a cross-sectional study  
17 from China, they also measured neonatal  
18 neurodevelopment and assessed it three days after  
19 birth. They observed statistically significant  
20 associations with prenatal DAPs which, again, with  
21 DAPs being a non-specific measure of OP pesticide  
22 exposure.

23 Moving on to mental psychomotor  
24 problems, again, these were assessed in all three U.S.

1 cohort studies. Each of these three cohort studies  
2 reported evidence of prenatal chlorpyrifos, or DAPs,  
3 and an association with impaired mental and  
4 psychomotor development. But to be clear, this was  
5 not consistent by age at time of testing with testing  
6 having occurred from six to 36 months across the three  
7 U.S. cohort studies.

8 In addition, in a cross-sectional  
9 Chinese study, they looked at a developmental quotient  
10 score for children aged 23 to 25 months. They  
11 observed no association with postnatal urinary DAPs.

12 Next, with regard to attention  
13 problems, attention problems and ADHD were reported  
14 with suggestive or positive associations in three  
15 prospective cohort studies, two from the U.S., one  
16 from Mexico. Again, attention problems have not been  
17 assessed in the Mt. Sinai cohort. Again, the three  
18 cohort studies were assessing prenatal exposure,  
19 whereas the cross-sectional study was assessing  
20 postnatal exposure.

21 Finally, in addition, in a Canadian  
22 cross-sectional study, they did not observe an  
23 association between postnatal DAPs and parentally  
24 reported attention problems. However, the authors did

1 note that their outcome assessment for these attention  
2 problems may not have been sensitive enough.

3 Finally, with regards to autism  
4 spectrum disorders and intelligence measures, across  
5 the three U.S. cohorts and a U.S. case-control study,  
6 they reported suggestive or positive associations  
7 between OP exposure and autism spectrum disorders. To  
8 be clear, the studies varied in the magnitude of the  
9 overall strength of the association, but they did  
10 consistently observe positive associations between OP  
11 exposure and these disorders.

12 Finally, for intelligence measures,  
13 measured at age seven years, in the three U.S. cohort  
14 studies, they reported an inverse relationship between  
15 the respective measures of chlorpyrifos or DAPs.

16 Overall, across the studies, it's  
17 important to note the difference in our understanding  
18 of prenatal versus postnatal exposure. At this point,  
19 we have a number of studies that have observed a link  
20 between prenatal exposure to chlorpyrifos, or OPs,  
21 measured as either chlorpyrifos, TCPy, or DAPs, and  
22 adverse effects on neurodevelopment through age seven  
23 years, with some additional more limited evidence up  
24 to the age of 11.

1                   However, our understanding with regards  
2                   to postnatal exposure is more limited. A smaller  
3                   number of studies have assessed postnatal exposure to  
4                   OPs. Specifically, postnatal exposure was assessed in  
5                   the CHAMACOS cohort, as well as in three cross-  
6                   sectional studies, one from China, one from the U.S.,  
7                   and one from Canada. To be clear, postnatal exposures  
8                   were not assessed in either the Columbia or Mt. Sinai  
9                   studies.

10                   As far as across the studies that did  
11                   look at postnatal exposure, the only study that saw an  
12                   association was in the U.S. cross-sectional study  
13                   where they looked at NHANES data and observed a  
14                   positive association between attention and behavioral  
15                   problems and DAPs.

16                   So overall, across the epidemiology  
17                   data that we looked at, we note the strength -- that  
18                   overall, the strength of the observed associations,  
19                   which are high in some cases but not in all; we note  
20                   consistency in a number of the measures of  
21                   neurodevelopment, including the Brazelton, which is  
22                   the neonatal neurodevelopment; ADHD-like behavioral  
23                   problems; Bayley, which is the infant  
24                   neurodevelopment; and IQ measures, including Working

1 Memory.

2           And, again, to note that across these  
3 cohort studies, in particular, alternative  
4 explanations for these results were evaluated,  
5 including the potential impact of confounding  
6 variables.

7           Again, the three U.S. cohort studies  
8 are the primary basis of our conclusion. We note that  
9 the strength of these studies in terms of the study  
10 design, both with regard to our understanding of the  
11 temporal relationship between the exposure and the  
12 outcomes that were measured, as well as by design --  
13 that differential misclassification is considered to  
14 be less likely. Again, we do note the issue of  
15 prenatal versus postnatal exposure, and that is  
16 considered to be a data gap which we'll be talking  
17 about more in our later presentations this afternoon.  
18 In terms of biological plausibility for these  
19 outcomes, the mechanism of action is unclear, but  
20 there are several plausible hypotheses.

21           And, then, finally, we are going to be  
22 talking about this more in just a minute, but it is  
23 worth noting that the studies were conducted across  
24 the period of time when the residential uses for

1 chlorpyrifos were canceled. So we'll be talking a  
2 little bit about the data from the Columbia study,  
3 which allows us to look at the impact of that. But to  
4 be clear, these studies were not designed to assess  
5 the influence of the cessation of its residential  
6 exposure.

7 So, finally, I want to give you more  
8 details on the Columbia University findings as these  
9 are the focus of the remainder of our presentations,  
10 as well as our issue paper.

11 First, a little bit more background.  
12 Again, the Columbia study, known as the Mothers and  
13 Newborn Study of North Manhattan and South Bronx,  
14 again, conducted by Columbia University, again,  
15 referred to as CCCEH, they measured parent  
16 chlorpyrifos in cord blood, as well as other  
17 indicators.

18 Again, the other two birth cohorts from  
19 the U.S. generally measured these non-specific  
20 measures of chlorpyrifos and other OPs, specifically,  
21 TCPy and DAPs. Therefore, EPA considers the Columbia  
22 study to be the most relevant to the chlorpyrifos  
23 human health risk assessment and is therefore the  
24 focus of this analysis. The other two cohort studies,



1 as well as the additional epidemiological literature  
2 that I talked about just now provide important  
3 supporting information. And, again, these conclusions  
4 were concurred in terms of the Columbia study being  
5 the most relevant for chlorpyrifos. The 2008 and the  
6 2012 SAP concurred with this conclusion.

7 Next, it's important to note that the  
8 Columbia study was conducted before and after when the  
9 pesticide manufacturers voluntarily canceled use of  
10 chlorpyrifos in the home environment. So this graph  
11 here is showing us the results from Whyatt et al.  
12 (2004). On the y-axis, they plotted the umbilical  
13 cord chlorpyrifos level. The light blue bar on the  
14 left is from the results from before the cancellation  
15 of the chlorpyrifos residential uses. And the right  
16 dark blue bar is from after the cancellation.

17 So overall, they were able to show  
18 that, as you can see, after the residential uses were  
19 canceled, the levels are lower.

20 Looking at this data in more detail,  
21 this table shows the distribution of the chlorpyrifos  
22 blood levels both in cord blood and maternal blood.  
23 The first half of the table shows the results from  
24 1998 to 1999, which is before the cancellation of the

1 residential uses. The second half of the table shows  
2 the distribution after the cancellation of these uses.

3 We will be talking about these results  
4 in more detail in later presentations. But for now,  
5 two key points: One, the numbers are lower after the  
6 cancellation of the residential uses in 2001; and,  
7 two, the cord blood and maternal blood numbers are  
8 similar.

9 In terms of the correlation between the  
10 cord blood and maternal blood as you saw just now, it  
11 suggests that maternal blood is a reasonable surrogate  
12 for cord blood given that they are similar. The  
13 Columbia University researchers conducted a  
14 statistical analysis of this and found that maternal  
15 blood and umbilical cord blood were highly correlated.  
16 These together suggest that tracking the blood  
17 concentration of the mother is a reasonable surrogate  
18 for the fetus. You will be hearing about the  
19 surrogacy issue in later presentations.

20 Finally, I just wanted to highlight  
21 some of the specific findings in the Columbia  
22 University Study.

23 The Rauh et al. (2006) study, followed  
24 254 children through the age of five years and used a

1 dichotomized statistical approach. They split their  
2 study participants into two groups. The low group,  
3 which included concentrations of chlorpyrifos and cord  
4 blood less than or equal to 6.17 pg/g, whereas the  
5 high group had greater than 6.17 pg/g.

6 Overall, they observed statistically  
7 significant deficits of 6.5 points on the Bayley  
8 Psychomotor Development Index at the age of three  
9 years when they were comparing these high to low  
10 groups. It is notable that these decrements persisted  
11 even after adjusting for group and individual level  
12 socioeconomic variables.

13 In addition, in this same study, at age  
14 three years, when comparing the same high to low  
15 exposure groups, they observed increased odds of  
16 mental delay; psychomotor delay; attention disorders;  
17 ADHD; and the pervasive developmental disorder, or  
18 PDD.

19 In addition, it is also worth noting  
20 that in a follow-up study at age 11, there was also  
21 increased odds of mild to moderate tremor in the arm  
22 when comparing the same high to low exposure groups.

23 In a more recent study, Rauh 2011, they  
24 looked at the relationship between prenatal

1 chlorpyrifos exposure and neurodevelopment. This  
2 included a total of 265 of the Columbia participants  
3 at the average age of seven years old. The  
4 participants included in the study included a complete  
5 set of data including prenatal maternal interview data  
6 as well as these prenatal chlorpyrifos marker levels.

7 Overall, they described the log of the  
8 Working Memory Index of children as being linearly  
9 associated with concentrations of chlorpyrifos in cord  
10 blood. They derived both the slope as well as a 95  
11 percent competence interval for this relationship.

12 Overall, for each standard deviation increase in  
13 exposure, they observed a 1.4 percent reduction in  
14 Full-Scale IQ and a 2.8 percent reduction in Working  
15 Memory.

16 So, in conclusion, across the  
17 epidemiological literature review, the current  
18 database strengthens the 2008/2012 conclusion that  
19 chlorpyrifos likely plays a role in the observed  
20 adverse outcomes on child neurodevelopment.

21 Second, given the study design, study  
22 conduct, and the methods used, EPA believes that these  
23 three U.S. cohort studies, which are, again, the  
24 primary basis of our conclusions, that they likely

1 under- than over-estimate the association.

2 And, finally, the new data from 2012,  
3 as well as our 2015 update, support and extend our  
4 previous conclusion.

5 And, again, just to note that we did  
6 retain the FQPA 10X safety factor in our 2014 Human  
7 Health Risk Assessment in order to account for the  
8 uncertainty associated with the potential for these  
9 neurodevelopmental outcomes.

10 Questions?

11 (Whereupon, there was no response.)

12 **DR. JAMES MCMANAMAN:** Thank you very  
13 much. So does the panel have any questions? Dr.  
14 Ehrich?

15 **DR. MARION EHRICH:** So you separated  
16 the children into low exposure and high exposure. Was  
17 that based on that six or the level of detection, or  
18 what was -- how did you separate -- how were the  
19 children separated into low and high exposures?

20 **DR. DANELLE LOBDELL:** I'm Danelle  
21 Lobdell, epidemiologist with ORD, Office of Research  
22 and Development.

23 So originally, they came up with that  
24 stratification that -- with the less than 6.17 pg/g in

1 their fetal growth study. So they had done a series  
2 of exposure assessments initially when they were first  
3 starting looking at how things were looked at. So in  
4 that very first study of fetal growth is where they  
5 came up with that, and they maintained that low and  
6 high group throughout the rest of the studies based on  
7 those preliminary studies.

8 **DR. MARION EHRICH:** Okay. It seems  
9 that all the data from this was based on analytical  
10 work done right at the beginning. So on that study  
11 you're talking about where they got the 6.17, they  
12 actually had some values below the detection that they  
13 called half values that they included in. Is that an  
14 okay thing to do?

15 **DR. DANELLE LOBDELL:** Yes. That is one  
16 of the techniques that is used in epidemiologic  
17 analyses as far as below the limit of detection. One  
18 of the things they will do is do half of that limit of  
19 detection in order that you have a value so you don't  
20 have so many zeros making your analyses skewed.

21 So that is a typical statistical way of  
22 attributing and helping formulate that as far as  
23 environmental epi studies. So that's one of the  
24 techniques that can be used. There's different ones

1 that can be used, but you will see that quite  
2 typically.

3 **DR. MARION EHRICH:** I guess my  
4 statistics is different --

5 **DR. ANNA LOWIT:** This is Anna Lowit.  
6 My name is spelled wrong.

7 **DR. JAMES MCMANAMAN:** We can  
8 correct that in the written comments.

9 **DR. ANNA LOWIT:** Approximately 30  
10 to 40 percent of the cohort is below the LOD for  
11 chlorpyrifos in cord blood. So what Danelle speaks  
12 about is accurate for those. The papers where they've  
13 done this dichotomous high versus low, in the 2011  
14 paper, the Rauh 2011 paper that uses the linear  
15 aggression across the Working Memory, at the low end  
16 below the LOD, they've done an imputation approach  
17 that creates more of a distribution. I don't think we  
18 have a slide with the plot. But it's certainly in the  
19 papers. So instead of a half LOD, it's more of an  
20 imputation approach.

21 **DR. MARION EHRICH:** I have more  
22 questions on this chemistry because usually you don't  
23 quantitate. I work in a forensic lab. You don't  
24 quantitate on a level of detection. You quantitate on

1 a level of quantitation. And when I go back to look  
2 at those papers, have you seen their original data  
3 where they have the chromatograms and their  
4 calibration grams and so forth? The calibration  
5 curves are 1000-fold higher than what they are giving  
6 in their papers. So I'm not quite sure.

7 The only thing that was in the issues  
8 paper was that table 1, and that's not like these  
9 levels that are talked about in the paper, the  
10 publications from that group.

11 As somebody who signs off on these, I  
12 would like to see the chromatogram; I'd like to see  
13 the level of detection, which is just a blip, and  
14 that's either yes or no; the quantitation which would  
15 be the calibration curve. And none of that seems to  
16 be available in the publications from that particular  
17 group.

18 **DR. ANNA LOWIT:** And we are not in  
19 possession of these chromatograms. The data were  
20 conducted at CDC at the time when Dana Barr was there.  
21 But we are not in possession of the chromatograms.

22 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
23 Terry.

24 **DR. ALVIN TERRY:** Yes. I sort of



1 concur with that, at least from my understanding of  
2 this. There seems to be a lot made of these  
3 exceedingly low numbers, and I collaborate with  
4 analytical chemists, as well. I think it would  
5 behoove you to have analytical chemists rigorously  
6 look at this to see whether or not this is within the  
7 limit of quantitation or -- you know, any of the  
8 papers I am familiar with, pg/g would be very, very  
9 low on any scale.

10 **DR. JAMES MCMANAMAN:** Since this is  
11 public record, would it be possible to get copies of  
12 those for the panel, you know, search the Internet or  
13 something like that to pick up the original  
14 publications? Are they available, or are they  
15 confidential?

16 **UNIDENTIFIED MALE:** We don't have  
17 access to the raw data. We recently, well, we've been  
18 in conversations with Columbia to get that data. This  
19 morning I sent, based on an interview with a  
20 spokesperson from Mailman School of Public Health that  
21 said they would make the data available to us and sent  
22 a letter to the dean. But currently, we don't have  
23 the raw data before us.

24 **DR. JAMES MCMANAMAN:** Okay. Thank you.

1 Other questions? Yes.

2 **WILLIAM POPENDORF:** Just another  
3 comment on the imputation. A second reason for doing  
4 that is to allow logarithmic transformations because  
5 you can't transform zero. On a linear scale, half a  
6 value below one, in this case, you know, if you look  
7 at those scales, doesn't make much difference.

8 But I wanted to clarify the other point  
9 on the 6.17. My understanding was that was based on  
10 dividing the cord data into thirds, and that was the  
11 division between the upper third and the lower  
12 two-thirds; is that correct?

13 **DR. DANELLE LOBDELL:** Actually, that is  
14 correct in the fetal growth paper. And then what they  
15 actually ended up doing is dichotomizing that and  
16 maintaining that dichotomization between low. But  
17 originally, you are correct.

18 **DR. JAMES MCMANAMAN:** Dr. Pessah.

19 **DR. ISAAC PESSAH:** I concur with the  
20 concerns raised about the number of measures below  
21 LOD. But I think there is another issue and that  
22 these were chlorpyrifos measurements; is that correct?  
23 Was there any record of chain of custody, the time the  
24 blood was collected to the time it was actually

1 analyzed, given that chlorpyrifos is unstable?

2 **DR. JAMES MCMANAMAN:** Speak into the  
3 microphone, please.

4 **DR. DANELLE LOBDELL:** I am unclear as  
5 far as, you know, their exact procedures as far as  
6 collection. I know, you know, as far as the cord  
7 blood was concerned, it was collected, you know, of  
8 course, on day of delivery. I don't recall their  
9 systematic procedures. But I'm sure they had some.  
10 So that will be something we will have to look more  
11 into as far as what has been described.

12 **DR. JAMES MCMANAMAN:** For the record,  
13 the answer to Dr. Pessah's question was about whether  
14 it was chlorpyrifos that was measured, and there was  
15 an acclamation that that was the case; that was true.  
16 Just for the record.

17 Dr. Jett.

18 **DR. DAVID JETT:** I just wonder if the  
19 Agency would like to comment on how the magnitude of  
20 these levels compare with the other two epi studies if  
21 there are comparisons that can be made.

22 **DR. ANNA LOWIT:** So as Beth walked  
23 through in some detail, the Columbia cord blood  
24 results are unique to the other cohorts in that the

1 important metric is parent chlorpyrifos in cord blood,  
2 which was not measured in the other cohorts.

3 Columbia did not start measuring TCPy  
4 in urine until after the cancellation of the indoor.  
5 So there's a little bit of an apples and oranges  
6 comparison across the cohorts.

7 **DR. WILLIAM HAYTON:** I have a question  
8 about the concentrations in blood. Is it blood or is  
9 it plasma? I read one of the Columbia papers, and it  
10 seemed pretty clearly stated that the blood was  
11 centrifuged, plasma was collected, and then forwarded  
12 for analysis. And they are not the same thing. So I  
13 would like that clarified.

14 **DR. ELIZABETH HOLMAN:** I believe you  
15 are correct. Measurement was directly in the cord  
16 blood plasma.

17 **DR. WILLIAM HAYTON:** Okay, because the  
18 white paper, I mean, if you're looking for -- what's  
19 the reference -- fluid and in the PK modeling, too, it  
20 makes a difference whether it's plasma or blood. And  
21 it seems to me those designations are just -- in the  
22 white paper, they are used to be synonyms. Sometimes  
23 it's talked about as plasma concentration, sometimes  
24 blood concentration.

1 In the PK modeling, is the reference  
2 fluid then going to be blood, or are we looking at  
3 tissue blood partition coefficients, tissue plasma  
4 partition coefficients?

5 **DR. JAMES MCMANAMAN:** Before beginning,  
6 that was Dr. Holman's answer to Dr. Hayton's question  
7 and then Dr. Hayton's response to Dr. Holman.

8 **DR. CECILIA TAN:** This is Cecilia Tan,  
9 U.S. EPA. To answer Dr. Hayton's question about in  
10 the PBPK model whether it's blood or plasma, I believe  
11 that the data that was used to calibrate and evaluate  
12 the PBPK model, it is plasma. So it is comparable to  
13 the biomarker data.

14 **DR. JAMES MCMANAMAN:** Okay. Just to  
15 encourage you to state your names. Thank you for  
16 doing yours. But the meeting is being transcribed, so  
17 it's hard to keep track of who is speaking if we don't  
18 have the names.

19 Yes, Doctor, go ahead. Dr. Carr.

20 **DR. RUSSELL CARR:** You mentioned that  
21 the purpose of this was not to measure the values  
22 before cessation -- before cancellation of  
23 registration and after cancellation of registration.  
24 But yet, that data is available. And maybe if we had

1 that smoking gun, it might make this a little bit  
2 stronger because we have data from kids who reached  
3 seven years old prior to cancellation and data from  
4 kids -- after seven years old, after cancellation.  
5 The cohort goes all the way out to 2006 based on other  
6 studies. They found that IQ at seven years old is  
7 affected by pHs and maternal care, phthalates, all  
8 originally dating from the same cohort.

9 One issue I have with the IQ data in  
10 the 2011 Rauh paper is that when we talk about  
11 compounding variables, she lists hers out, and it's  
12 lead, PAHs, and environmental tobacco smoke. There is  
13 no mention of diazinon or any other organophosphate  
14 insecticide. And I'm just kind of concerned that was  
15 not included for analysis.

16 **DR. ANNA LOWIT:** I will cover a piece  
17 and then defer to Danelle for part of that. That's a  
18 very long, complicated question.

19 The first part of your question was  
20 about the individuals in the cohort in the pre- and  
21 the post-cancellation. So to clarify what you heard  
22 from Beth is that the cohort, itself, was not designed  
23 to look at the before and after. From a statistical  
24 point of view, it's not designed that way. However,

1 we do know that because the cancellation occurred  
2 somewhere during the study, that there are children  
3 born before and after. In fact, we know that only one  
4 child was born who has a value in the quote/unquote,  
5 high group born after the cancellation, actually.  
6 That child was born very close right after the  
7 cancellation occurred.

8 So upon the cessation of that internal  
9 -- that in-home environment, as that was removed from  
10 the in-home, you see those striking bars of it and the  
11 striking decrease in the cord blood.

12 But from a statistical point of view,  
13 you wanted to be more evenly distributed, the before  
14 and the after, to be stronger. That's what Beth was  
15 clarifying. But we do know -- I mean, it is clear,  
16 the before and after. We agree with you.

17 So I will defer to Beth -- to Danelle  
18 on all the confounding issues, and Beth, also.

19 **DR. ELIZABETH HOLMAN:** To be clear, in  
20 terms of the Rauh 2011 study, as well as the Rauh  
21 2006, it's important to note, so in terms of lead, in  
22 terms of a confounding factor, lead was only available  
23 for 89 of the children that were assessed.

24 Confounding is only an issue when it is associated

1 with both the chlorpyrifos measure and with the  
2 intelligence measure.

3 In the case of the intelligence  
4 measures, it was not significantly correlated with  
5 either the chlorpyrifos level or the intelligence  
6 measures. But, again, they only had it available for  
7 a subset of the data for 89 children.

8 In terms of the -- and similar results  
9 were obtained for the Rauh 2006 study, which was for  
10 the relationships with mental and psychomotor  
11 development.

12 In terms of polyaromatic hydrocarbons,  
13 both environmental tobacco smoke, and to a lesser  
14 extent, were correlated with chlorpyrifos. But they  
15 were not significantly correlated with the  
16 intelligence measures.

17 In terms of diazinon, you are correct  
18 that that was not discussed explicitly in the 2011  
19 paper. However, this was the subject of extensive  
20 discussion with regards to the mental and psychomotor  
21 decrements in the 2012 SAP review. They specifically  
22 assessed whether or not the mental and psychomotor  
23 development decrements were still associated if you  
24 included both diazinon and a metabolite of propoxur.



1 And they concluded that it did not have an impact  
2 overall whether or not they included those additional  
3 -- whether you included those pesticides did not  
4 impact the associations that were seen with mental and  
5 psychomotor developments with respect to chlorpyrifos  
6 exposure.

7 **DR. RUSSELL CARR:** But that's only  
8 available for the 2006 study.

9 **DR. ELIZABETH HOLMAN:** That is correct.

10 **DR. JAMES MCMANAMAN:** Yes.

11 **DR. STELLA KOUTROS:** I just wanted to  
12 give a couple of bigger picture thoughts about what I  
13 heard from the panel thus far.

14 It sounds like some of the panel  
15 members have concerns about or want more information  
16 about the quality of the assay and the blood  
17 collection protocol, and then others still have some  
18 concerns about the data or the statistical analysis.

19 But I suggest that the Agency think  
20 about and report back to the panel members what they  
21 would like us to be considering moving forward here  
22 such that we don't have a recapitulation of the 2012  
23 FIFRA SAP and let us know what your starting point is  
24 for us. If you are already accepting the validity of

1 this assay data, maybe you should let us know that.  
2 Or if the panel feels uncomfortable -- because it is  
3 the basis of so much of what we are asked to do, if  
4 the panel needs more information to make these  
5 judgments, you might consider providing that, as well.

6 **DR. ANNA LOWIT:** So I will start and if  
7 Dana or Jack want to add, I will invite them to do so.

8 As you heard from Dana's presentation  
9 first thing this morning, we've been reviewing these  
10 studies since 2007 and 2008 timeframe. Two separate  
11 panels have reviewed the studies, and to some degree,  
12 have asked some of the same questions and have looked  
13 into these details about confounding and about other  
14 OPs in some great detail.

15 So to some extent, particularly, the  
16 2012 SAP went into those in so much detail, and we  
17 have not in our issue paper, we brought those back and  
18 have not spent a long time developing that on behalf  
19 of all of you.

20 We would request that in large part,  
21 you defer to the 2012. Although we acknowledge that  
22 they continue to publish and there are new studies,  
23 and we also knowledge that, as we move to change how  
24 we do our risk assessment on these, that questions

1 will continue to rise.

2 I don't know if Jack or Dana would want  
3 to . . .

4 **MR. JACK HOUSENGER:** I was just going  
5 to concur with Dr. Koutros on the aspect of the  
6 analytic side. But I was going to add, possibly a way  
7 to answer the question certainly of Dr. Pessah would  
8 be, do you know if the study was conducted under GLP,  
9 and if not, back up your question to ask them. Good  
10 laboratories practices.

11 **DR. ANNA LOWIT:** I don't believe it  
12 was; although that would be an easy thing to check  
13 into. But keep in mind, all the analytical work was  
14 done at the CDC labs, and they have a long history of  
15 collecting blood and urine samples. So although we  
16 don't know how the samples were handled, I think it's  
17 a reasonable assumption that the Columbia  
18 investigators would have followed whatever protocol  
19 was given to them by CDC at the time.

20 **DR. WILLIAM POPENDORF:** By the way,  
21 that asked that question.

22 **DR. JAMES MCMANAMAN:** Marion.

23 **DR. MARION EHRICH:** Still have some  
24 problems with the analytical because everything refers

1 back to that Barr 2002 paper that came out of the CDC.  
2 But that group published again in 2010 and they have  
3 chlorpyrifos limited detection at 21 ppt, which is  
4 pg/g, and their linear curve at 21 to 6400 parts per  
5 billion, which is 1000 higher. In the 2011 paper,  
6 they concentrated their samples 200 fold. In the 2002  
7 or 2001, the first paper, the Barr paper that's always  
8 used as a reference, they concentrated 400 fold, yet  
9 you are getting three significant figures for your cut  
10 off when you're concentrating something -- you have to  
11 dry it down, and then you have to re-suspend it.

12 There needs to be more looking at that  
13 particular analytical data because sometimes -- your  
14 calibration curve should go down to your limit of  
15 detection. And when that's in parts per billion and  
16 your limit of detection is given as parts per  
17 trillion, that gives this panel member, cause for  
18 pause here, to say the least.

19 **DR. SHARON SAGIV:** I think given some  
20 of these analytic concerns and the fact that this is  
21 based on one study, the Columbia study, I think that  
22 does -- it's a little bit troublesome. I wonder what  
23 people make of the TCPy measure that's the metabolic  
24 analyte for chlorpyrifos and why that hasn't been

1 examined. What do you make of that biomarker? Just  
2 because that would allow for a couple of other studies  
3 to be considered for chlorpyrifos.

4 **DR. ANNA LOWIT:** So in relation to the  
5 TCPy question, TCPy is not specific to chlorpyrifos.  
6 It's also the metabolite of chlorpyrifos methyl and  
7 triclopyr, also pesticides. It's also important when  
8 you look at TCPy data, TCPy is actually prevalent in  
9 the environment without further metabolism. So it's  
10 common for people to either expose in the diet or in  
11 their environment for TCPy.

12 So it's very difficult to interpret  
13 TCPy data from biomonitoring studies because you don't  
14 know what their exposure was before the study began or  
15 what their direct exposure to the TCPy is. It's often  
16 much higher than you would predict from just a  
17 straight up chlorpyrifos exposure.

18 **DR. SONYA SOBRIAN:** I have a question  
19 about your sample sizes. In your earlier studies, in  
20 the Whyatt studies, it looks like you are looking at  
21 the cord blood and maternal blood between 180 and then  
22 90-something subjects. But if you look at the  
23 behavioral data from the studies in 2011, you're  
24 looking at 265 children, and you're trying to

1 correlate the changes in the behavioral measures with  
2 the blood levels; and the numbers don't add up. Can  
3 you speak to that?

4 **DR. ELIZABETH HOLMAN:** I believe the  
5 difference there is that you are talking about the  
6 validation study, which -- versus the studies where --  
7 including all the study participants that have been  
8 collected across the years.

9 **DR. SONYA SOBRIAN:** It's just difficult  
10 to get some idea of what the actual sample size --  
11 with the original sample size was somewhat and what  
12 the attrition rate was and how you -- you're looking  
13 at cord blood measures, and you're trying to  
14 correlate, at least if I understand, you're trying to  
15 correlate those with changes in behavior, in the 2011,  
16 with cognitive. But how do you know what is in the  
17 larger sample when you haven't -- your numbers for the  
18 validation sample are small?

19 **DR. DANELLE LOBDELL:** As far as the  
20 studies over time are concerned, they do indicate how  
21 many -- what is the follow-up, how many have not been  
22 retained over time within each of the individual  
23 studies. They also do comparisons between who has  
24 lost a follow-up and who has not, and if there are any

1 differences between those groups; and they have not  
2 seen anything as far as their attrition is concerned.  
3 So that is definitely highlighted and noted within and  
4 throughout the studies.

5 So, you know, given over time, you  
6 know, some of the earlier studies may be a little  
7 smaller because they haven't quite analyzed and  
8 brought in that data from those just into the cohort  
9 early on. So you are going to have some discrepancies  
10 in numbers early on in the early studies. And as the  
11 cohort grows and they bring in more of that data and  
12 it gets analyzed and brought in as they grow older,  
13 then you're going to see some of those larger numbers  
14 also.

15 But when you do validation studies  
16 also, you will do a subset of that and, you know,  
17 usually you take random sample of that.

18 So I don't know exactly how to answer  
19 your question, per se, knowing that, you know, to  
20 account for the differences in numbers, it's very  
21 common practice to take a subset to look at that  
22 validation representing the whole group as a whole.

23 **DR. JAMES MCMANAMAN:** A question?

24 **DR. SONYA SOBRIAN:** Just one more

1 follow-up. I guess the question is how confident are  
2 you that the validation study reflects the later  
3 number of children?

4 **DR. DANELLE LOBDELL:** I don't have any  
5 reservations and what they've done in their  
6 methodology and that aspect.

7 **DR. JAMES MCMANAMAN:** Dr. Pessah.

8 **DR. ISAAC PESSAH:** I have a question  
9 about, again, it's analytical, on cross validation.

10 Obviously, these samples were measured  
11 over many years; is that correct? That's my  
12 understanding. I imagine the instrumentation at CDC  
13 changed over those years, and has there been any  
14 attempt to cross-validate earlier samples to make sure  
15 that you get the same answer?

16 **DR. ANNA LOWIT:** Our understanding is  
17 that -- all that was done at CDC. As we've noted, we  
18 don't have access to that. Our understanding is that  
19 it was the same method used the entire time. And I am  
20 unaware whether or not they did any cross-validation  
21 across time or not.

22 **DR. ISAAC PESSAH:** As I understand it,  
23 the method was not identical.

24 **DR. JAMES MCMANAMAN:** Somebody want to



1 respond to that? That was a question to whom?

2 **DR. MARION EHRICH:** I cited two papers  
3 from that group. One is Barr et al., Journal of  
4 Chromatography be in 2002. That's one they used  
5 primarily for the references. And occasionally they  
6 move to citing Perez in Journal of Chromatography B.  
7 This is in 2010. But it's from the same group because  
8 Barr is the last author on this particular paper. And  
9 that's one that really has the calibration curve in it  
10 for chlorpyrifos, which is not present in this other  
11 one, even though some of those Whyatt papers from that  
12 group at Columbia say the method has been validated  
13 and so forth by this 2002 paper. It really is not  
14 there for chlorpyrifos.

15 So I'm just not comfortable with the  
16 analytical end of this whole thing. I'm sorry. But  
17 that's the way it is.

18 **DR. ANNA LOWIT:** We can go through the  
19 papers one by one and verify their methodology if it's  
20 something you would like us to do.

21 **DR. JAMES MCMANAMAN:** Well, it seems  
22 like we're off about a thousand fold in terms of  
23 validation. So there's a burning question for some  
24 panel members about the accuracy of the data. Yes.

1                   **DR. JEFFREY FISHER:** Dana Barr is now  
2                   at Emory. She was at CDC. She's still around. I  
3                   think she would be a good person to talk to.

4                   **DR. JAMES MCMANAMAN:** Thank you. Other  
5                   questions?

6                   **DR. DAVID JETT:** I wanted to go back to  
7                   a couple of things Russ brought up, and then your  
8                   comment about going back to other SAPs.

9                   I'm still thinking about this issue of  
10                  confounding exposures. I know this was covered in the  
11                  other SAP, but it's hard for me to answer a question  
12                  now about exposure scenarios without thinking about  
13                  that. It's my understanding they did analyses on  
14                  other agents, chemical agents, exposures.

15                  I guess the general question is how  
16                  were they chosen, and did you focus on agents that  
17                  would potentially have an effect on an outcome that  
18                  you were interested in based on what we knew about  
19                  chlorpyrifos? Was that the general approach?

20                  **DR. DANELLE LOBDELL:** Essentially, as  
21                  far as the compounding is concerned, you would look at  
22                  both its relationship between the exposure and the  
23                  outcome. So confounding by definition means that it  
24                  has a relationship with both. If you don't see a

1 relationship with one, then there is not confounding.

2 So for some instances, you may have  
3 some compounds that were measured and are related to  
4 neurodevelopmental outcomes. But if they're not  
5 related to the exposure chlorpyrifos, then they would  
6 not be considered a confounding within the models that  
7 you're looking at.

8 So that was a big basis of the  
9 discussions, actually, back in the 2012 SAP. And they  
10 looked through all the different types of exposures  
11 that were measured within the Columbia study, and  
12 there was a huge discussion between that in regards to  
13 what was going on and why or why not they were  
14 included in the models.

15 **DR. DAVID JETT:** But the selection of  
16 those in the Columbia study, we don't really know the  
17 rationale there? These are compounds that were  
18 measured, and then you tried to make that relationship  
19 of is it confounding or not.

20 **DR. DANELLE LOBDELL:** So I can't speak  
21 directly, so that's why I'm kind of hesitating a  
22 little bit. I cannot speak directly as to what they  
23 specifically did as far as thinking about confounding  
24 control. I can only speak to what they have put

1 within their papers, themselves.

2 In thinking about -- so we in general,  
3 nowadays, we use what we call DAGs to try to formulate  
4 what some of those relationships are. But those were  
5 just coming into being not quite when this study  
6 began.

7 But you use the literature, you use --  
8 again, they looked at things and measures that they  
9 did have -- that they did actually measure within  
10 their cohort. But as far as classically thinking what  
11 they went through, I can't speak to that.

12 **DR. SHARON SAGIV:** I can tell you what  
13 groups that -- we do these studies. They usually see  
14 what they measured first. If they haven't measured  
15 lead, you can't look at compounding by lead.

16 They also think about how these  
17 exposures co-occur. So, for example, if you have an  
18 exposure that's much more prevalent in a lower socio-  
19 demographic population, then you would probably look  
20 at exposures that were also co-occurring in those low,  
21 low SES populations. That's how you kind of come up  
22 with which exposures to look at as confounders, as  
23 multi-pollutants. But usually it's whatever you have.

24 I did have sort of a question and a

1 comment. The first comment I had, and this is in  
2 regards to the neurodevelopmental outcomes, is that I  
3 think for the cohort studies, they didn't look at  
4 clinically diagnosed autism spectrum disorders. I  
5 think that's a distinction I think we should make for  
6 the record. In case-control studies, they certainly  
7 did. But for the -- I know Columbia did not do an  
8 ADOS or anything like that. They looked at CBCL or  
9 BASC, and they used symptoms that are consistent with  
10 ASD to -- but those aren't clinically diagnosed ASDs.  
11 So I just wanted to put that out there.

12 The second sort of question I have is  
13 you included a lot of different neurodevelopmental  
14 assessments, some of which are very early on in  
15 newborns and some that are in infants. Did you weight  
16 those equally with what you would see in, say, three-  
17 and seven-year-olds? Especially the NBAS, those are  
18 taken right at birth.

19 I mean, I think that most of the  
20 studies have found abnormal reflexes. That was the  
21 prevailing association they found. But I wouldn't  
22 necessarily weight them as high as a seven-year-old  
23 neuro outcome.

24 So I just wondered if you took that

1 into consideration.

2 **DR. ANNA LOWIT:** We haven't ranked  
3 them, per se, is what you're getting at with respect  
4 to weighting them. As described in the paper, and you  
5 will see in the presentation later on, as it relates  
6 to how you would use the data in a risk assessment,  
7 I'm not sure weighting them, per se, is really that  
8 important, per se. It's looking at the totality of  
9 the evidence and the weight of that evidence and the  
10 fact that there are multiple things found that are  
11 positive associations across the same children over  
12 multiple years is part of the strengths of the  
13 totality of the evidence.

14 **DR. JAMES MCMANAMAN:** I have one last  
15 question for Dr. Holman. Is the -- are the  
16 associations between chlorpyrifos levels in the blood  
17 and the adverse outcomes; you said they are highly  
18 correlated. Same level on all?

19 **DR. ELIZABETH HOLMAN:** Just to be  
20 clear, which studies are you referring to?

21 **DR. JAMES MCMANAMAN:** I can't remember  
22 whether you identified the particular studies or not.  
23 But you said there was -- the adverse outcomes were  
24 hardly correlated with chlorpyrifos levels. So I was

1 just wondering whether all adverse outcomes where they  
2 had the same level of correlation, same level of  
3 association.

4 **DR. DANELLE LOBDELL:** I just want to  
5 kind of clarify. You're indicating outcomes right  
6 now. But I think that the correlations that she was  
7 speaking of was the correlation between the maternal  
8 blood and the cord blood and that they were highly  
9 correlated throughout that time period. I believe  
10 that is what you may be referring to more so than the  
11 outcome.

12 **DR. JAMES MCMANAMAN:** I wrote a note  
13 saying that she mentioned that the associations were  
14 highly correlated with levels. But I may have  
15 misunderstood what she was saying.

16 **DR. ELIZABETH HOLMAN:** No. When I  
17 showed that table of the cord blood and the maternal  
18 blood measures, the intent was to show that they were  
19 similar and that the Columbia researchers did  
20 statistical analyses to determine whether they were  
21 correlated, and they were highly correlated.

22 In terms of the adverse health  
23 outcomes, across the two specific studies that we were  
24 talking about, the Rauh 2006 paper looked at multiple

1 measures where they had the high and the lower  
2 exposure group. And when comparing the high to low  
3 exposure group, they found at age three years, they  
4 found multiple associations with elevated risks of  
5 various outcomes, which I can talk about again.

6 **DR. JAMES MCMANAMAN:** So in those  
7 multiple associations, where the correlations, did  
8 they -- is the correlation between the level of  
9 chlorpyrifos and the adverse outcome, did they match?

10 **DR. ELIZABETH HOLMAN:** Yes.

11 **DR. JAMES MCMANAMAN:** Did some have a  
12 different level of correlation than others?

13 **DR. ELIZABETH HOLMAN:** Yes. No, there  
14 were different levels of correlation depending on the  
15 outcome. If you look -- I can find the specific slide  
16 number --

17 **DR. STELLA KOUTROS:** I think they're  
18 just a little confused because your terminology is a  
19 little bit different than what they are expecting. So  
20 what you're describing is the magnitude of the effects  
21 and the association between the exposure and the  
22 outcome.

23 **DR. JAMES MCMANAMAN:** Exactly. Sorry.

24 **DR. STELLA KOUTROS:** And I think he



1 just wants to know the magnitude of those  
2 associations, not the correlation.

3 **DR. ELIZABETH HOLMAN:** It's on slide  
4 55, and it's the magnitude of the association is -- so  
5 it's comparing the same high and low exposure groups  
6 with that 6.17 pg/g cutoff. And depending on the  
7 outcome, for each of these, I've listed the odds ratio  
8 comparing the high to low exposure group followed by  
9 the 95 percent competence interval. So in each of  
10 these cases, they are considered to be statistically  
11 significant.

12 **DR. JAMES MCMANAMAN:** Okay. Thank you.  
13 Dr. Pessah.

14 **DR. ISAAC PESSAH:** So I actually did  
15 have a question about the correlation between cord  
16 blood and the maternal blood. Those samples were  
17 collected at labor and delivery, obviously, for the  
18 cord blood. What about the maternal blood? There are  
19 huge differences during pregnancy in terms of lipid.  
20 And if you don't account for that, I don't see how you  
21 come up with a correlative value. I assume that they  
22 were both collected . . .

23 **DR. ANNA LOWIT:** So clearly the cord  
24 blood would have been taken at or near delivery. The

1 maternal bloods, we don't know when they were taken,  
2 if they were taken at delivery. But the publications  
3 say that some of them were taken as much as two days  
4 later. But as we'll show in later presentations, that  
5 two-day window may not be as striking as it appears  
6 because once you hit what we call the terminal half-  
7 life where the clearance slows down -- the half-life  
8 is very long. So you get to a half-life of something  
9 in the order of four to five days. So a two-day  
10 window to collect the mother's blood is not as  
11 strikingly off as it may initially feel.

12 And we'll talk about that quite  
13 extensively in the coming presentations.

14 **DR. CECILIA TAN:** In the next  
15 presentation, I will talk about the comparison between  
16 a pregnant model and a non-pregnant model.

17 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
18 Terry.

19 **DR. ALVIN TERRY:** This goes back to  
20 your question, Dr. McManaman, and this regression  
21 analysis where you say, yes, the higher levels are  
22 correlated with the worst neurodevelopmental outcome.  
23 But it's important to point out that this is in the  
24 evidence of dose effect.

1           So I was curious about this dichotomy  
2 where you have below six or greater than 6 pg/g and  
3 then -- is that a typical way the EPA would evaluate  
4 scientific data? I mean, people in pharmacology and  
5 toxicology always like to see some evidence of dose-  
6 dependence. And in this type of work, a temporal  
7 relationship, you have neither in this Columbia study  
8 that you are placing the most weight on. I just  
9 wondered what your thoughts were on that.

10           **DR. ANNA LOWIT:** I'll start and get  
11 help from others. I'll take the second half of that  
12 first.

13           I think there is, in fact, a temporal  
14 relationship here. Around the year 2000 -- remember,  
15 the children in the cohort were born over a several-  
16 year timeframe, from 1998 through 2004. And around  
17 2000, as we will talk about extensively for the rest  
18 of the day, around 2000, the Agency took -- went into  
19 a voluntary cancellation agreement with the  
20 registrants at the time. What that did was remove  
21 chlorpyrifos from the home environment. Prior to that  
22 time, individuals could have gone to the store and  
23 purchased it. It would have been used by the building  
24 managers to -- within apartments of the women. But in

1 2000, those uses were no longer available, so they  
2 were actually removed from that environment. So there  
3 is actually a temporality to the data, and, in fact,  
4 the temporality is an important component of how we  
5 understand that data.

6 If you look at it up here on the table,  
7 and this same slide will come up several times today,  
8 if you look at the '98/'99, which would have been the  
9 time of the use at the indoor, you can see across the  
10 distribution that at the upper tails, you're above 10  
11 pg/g in both cord blood and maternal blood. Just  
12 looking at those values, they are highly correlated.  
13 There's a nice comparison between them.

14 However, in the 2001 data, which would  
15 have been after the cancellation, you see the values  
16 are strikingly different. So it's not even above the  
17 LOD until you hit around the 90th percentile.

18 So there is, in fact, temporality to  
19 the data.

20 With respect to -- this temporality  
21 sort of creates a pseudo-dose-response, which is  
22 unique in epidemiology, looking at my epidemiology  
23 friends, that -- keep in mind the investigators at  
24 Columbia could not have predicted in 1998 that in the

1 year 2000, something major would happen with respect  
2 to regulatory action that would fundamentally change  
3 what was happening in the cohort. You couldn't have  
4 predicted that at the time. So there is this  
5 happenstance that happened that for the members of the  
6 cohort, there's a pre-cancellation set of children and  
7 a post-cancellation set of children, which creates the  
8 sort of pseudo-dose-response of the existence of the  
9 residential use and the removal from that environment.

10 **DR. RUSSELL CARR:** The question is,  
11 though, that we don't have the neurobehavioral data to  
12 match this. We know that if we remove the  
13 chlorpyrifos, the exposure level goes down. But do we  
14 have the neurobehavioral data past that point?

15 **DR. DANELLE LOBDELL:** They do actually  
16 have the neurodevelopmental outcomes measured in all  
17 of the cohort members.

18 **DR. RUSSELL CARR:** Well, what I'm  
19 saying is we have 2001, the levels went down. I'm  
20 talking about behavioral data from those children.  
21 That's what I'm talking about with the smoking gun,  
22 the measurements at seven years old for kids who were  
23 not exposed to chlorpyrifos at all. Where's that  
24 located?

1                   **DR. DANELLE LOBDELL:** It's part of the  
2 analyses. So they would be included in the non-  
3 exposed group that are compared to the highly exposed  
4 group.

5                   **DR. RUSSELL CARR:** Most of the ones,  
6 they stop in 1990 to 2001. The age ranges don't go  
7 all the way out to 2004, 2005 in those neurobehavioral  
8 studies.

9                   **DR. ANNA LOWIT:** First, Russell, I'd  
10 like to see which publication you're talking about.  
11 Keep in mind the children in the cohort are  
12 continuously followed. So the same kids who are in  
13 the paper that they looked at, the three-year-olds are  
14 the same children at seven are the same children at  
15 11. So they are tracked the entire time.

16                   **DR. RUSSELL CARR:** I agree with that.  
17 But they've tracked other kids, too, who were born  
18 after chlorpyrifos -- the cessation of chlorpyrifos  
19 use. I'm saying it would be nice if we had that data.

20                   **DR. ANNA LOWIT:** You do have that.  
21 Those kids are implicit -- can we bring up one of the  
22 slides with the greater than less point -- less than  
23 six point-something -- one of the high/low slides.  
24 We're looking for a slide to talk through that issue.

1           Earlier, there were some questions  
2 about the cutoff, the high versus low -- there we go -  
3 - on the low group versus the high group and where  
4 those numbers came from and everything else.

5           Russell, it's important to remember  
6 that the high group are basically the children before  
7 the cancellation. The low group are basically the  
8 children after the cancellation. There is a little  
9 bit of crossover. There's one kid in the high group  
10 that was born after the cancellation, a few months  
11 after it occurred. And there are a few kids in the  
12 low group that weren't that exposed.

13           You see the striking -- and it's in one  
14 of Beth's other slides, and it's across that  
15 distribution we see that there is this striking before  
16 and after. And all those kids are in all these  
17 analyses because they're all being tracked. So the  
18 low group represents those kids born after 2000 and  
19 who are also being observed for the same outcomes.

20           **DR. RUSSELL CARR:** I agree that for the  
21 cord data they did that; they separated out into those  
22 two cohorts. But I have yet to see it done for the  
23 neurobehavioral data.

24           **DR. ANNA LOWIT:** I guess we're not

1 understanding your question.

2 **DR. RUSSELL CARR:** On the cord blood,  
3 there is a paper by Whyatt that separates out where,  
4 from 2000 to 2004, they basically stopped seeing  
5 chlorpyrifos except for the one child you're talking  
6 about. But yet, I haven't seen it to where they take  
7 IQ data or MDI data from just those kids from 2002 to  
8 2004 and compare it back to 1999. It's always done by  
9 variables, but there's kids prior to '99 that were  
10 low-level chlorpyrifos.

11 **DR. ANNA LOWIT:** Let me tell you what  
12 I'm hearing; you tell me if I'm following.

13 So you're wanting, instead of using the  
14 risk metric of the cord blood, the before and after,  
15 you're asking whether or not as a risk metric, they've  
16 looked at the before and after?

17 **DR. RUSSELL CARR:** Yes, just by the  
18 date, the exposure period versus non-exposure period.

19 **DR. ANNA LOWIT:** Not to my knowledge.  
20 But I guess I would ask you, given the temporality of  
21 the cord blood data, what would be the value added of  
22 that?

23 **DR. RUSSELL CARR:** If chlorpyrifos is  
24 indeed decreasing the IQ and causing MDI and PDI



1 problems, if you remove it, those children past that  
2 point should have higher values than the children  
3 during the exposure period.

4 **DR. ANNA LOWIT:** But they do. Can we  
5 put 55 back up -- 54 and 55.

6 So the metric being used by Columbia is  
7 the metric -- at least for the 2006 paper, which is  
8 the one that you're looking at -- separated by the low  
9 and the high by the 6.17. What that -- in a  
10 toxicology study you would look at, in most cases, a  
11 control, a low, a mid, maybe a high-dose, correct?

12 So in this case, it's just, you know,  
13 think of it as the quote/unquote, controls and some  
14 sort of dose group.

15 And in a toxicology study, you would  
16 note that by the administered dose they received. So  
17 either zero for the controls or some sort of metric  
18 dosed in the animals.

19 This is an epi study, so it looks  
20 different. We don't have that external dose metric to  
21 the children. The external exposure, as Wade will  
22 describe in detail this afternoon, we don't know what  
23 was on the surfaces in the apartments. We don't know  
24 how much was applied along the corners. We don't know

1 those things, but we're really good at predicting  
2 them, as we'll talk about later. So we don't know  
3 that administered dose.

4 So instead of administered dose, what  
5 we do have is also very powerful. It's that internal  
6 blood concentration, and that is the 6.17. So that  
7 becomes equivalent to your tox study. Normally in a  
8 tox study, we would talk about it administered dose to  
9 the animal. Here we're talking about that internal  
10 concentration.

11 So those low versus high, i.e., control  
12 versus dosed children, what we have here on slide 55  
13 are the outcomes of those analyses of that dichotomous  
14 analysis for mental delays, so the low versus high,  
15 the risk ratio of that. The same thing with the  
16 psychomotor delay, the attention, the ADHD, and the  
17 PDD metric.

18 So, in fact, I believe we do have the  
19 question that you're . . .

20 **DR. DANELLE LOBDELL:** I understand  
21 where you're coming from. It's more of instead of  
22 using an exposure metric, you're using a time period  
23 as your exposure metric is what you're suggesting.  
24 So, basically, you're suggesting, and rightly so, I

1 have not seen the data that looked at post- versus the  
2 pre-.

3           However, you know, as Anna has pointed  
4 out, the large majority of the low dose really are  
5 coming from the post-. There are definitely some from  
6 the pre-period, and that is for sure. But a lot of  
7 your post- are within the low dose.

8           **DR. RUSSELL CARR:** The Rauh 2006 paper  
9 states the children came from 1997 to 2002. In 2002,  
10 we were still detecting chlorpyrifos in the majority  
11 of the children. It wasn't until after that point,  
12 from 2002 to 2004, that the numbers went down.

13           **DR. ANNA LOWIT:** No. That's not  
14 exactly accurate. Can we put that slide back up with  
15 the table?

16           So, Russell, this is a smaller version  
17 of the same -- of a bigger table that is, I believe,  
18 called Figure 1 in the issue paper that looks at the  
19 cord blood and maternal blood across each year of the  
20 study. All we've done for brevity for the slides is  
21 to just pull what we thought were the two most  
22 important years, the one before the cancellation and  
23 the one immediately after.

24           So if you look down across the

1 distribution, if you look at the 2001 which would be  
2 after, you have to get to the 90th percentile to see a  
3 value over the LOD. On your computer, if you open up  
4 the issue paper and look at Figure 1 -- I don't  
5 remember what page it's on -- but if you look at the  
6 data from '98, '99 to 2000 to 2001, there is a  
7 striking drop.

8 **DR. ELIZABETH HOLMAN:** Just to be  
9 clear, I don't think I made this clear when I talked  
10 about this slide, they split it into two groups, and  
11 the specifics were before cancellation, that was  
12 everyone before January 1, 2001, and the after was  
13 everyone after 2001. They did a statistical analysis,  
14 and this is the mean overall measure for those two  
15 groups, and they did conduct the statistical analysis  
16 and concluded they were statistically different  
17 between the two groups.

18 **DR. MARION EHRICH:** Comment on this  
19 one. That point six is below the level of detection  
20 in the Barr 2002 paper, and certainly below the level  
21 of detection in that same group's paper in 2010, which  
22 was 21. So most of them will be below what they call  
23 their level of detection in the 2010 paper.

24 **DR. ANNA LOWIT:** I appreciate that.

1 We've heard all the questions about the analytical  
2 techniques and have reached out to Dana Barr, and we  
3 will be calling her at the break to get some questions  
4 answered.

5 So there are two LODs in the study, as  
6 we understand it. Some of the samples had an LOD of  
7 one; some of them had an LOD of point five.

8 **DR. STELLA KOUTROS:** I just wanted to  
9 comment on the fact that I accept and appreciate the  
10 Agency's 10 past years of work to assess the quality  
11 and value and validity of the literature thus far, and  
12 accept that the conclusions drawn by the Agency about  
13 these data have already been made, and that I agree  
14 with them.

15 **DR. JAMES MCMANAMAN:** So it seems like  
16 -- I don't think Dr. Carr's question was resolved.  
17 But maybe it was. But it sounds like there is a  
18 discrepancy between the 2006 paper and the data that  
19 was reported in the slide. So perhaps we could get  
20 the 2006 paper.

21 Let's take a break because I know that  
22 you said you would get this data after the break. But  
23 that was assuming we did have a break. So if we don't  
24 take the break, you won't be able to.

1                   **DR. ANNA LOWIT:** Before you break, you  
2 said there seems -- I guess we're still struggling  
3 with understanding where Dr. Carr thinks there is a  
4 discrepancy.

5                   **DR. JAMES MCMANAMAN:** Unless Dr. Carr  
6 has been cleared up, I think it's with his  
7 interpretation of the 2006 paper.

8                   **DR. RUSSELL CARR:** My point with before  
9 and after is basically throughout toxicology history,  
10 you have, for instance, eggshell thinning. You have  
11 before and after. PCB exposure, you have before and  
12 after. And those sentinel species that we're having  
13 trouble with, once you remove the exposure, they  
14 recovered. Based on their total publication route,  
15 not just chlorpyrifos, they had data from kids who  
16 were born 2004, 2005, 2006. I'm saying that data. We  
17 can take that data and compare it back to 1999, '98,  
18 '99, 2000, just to get an idea.

19                   I know that's not your purview, but as  
20 it was mentioned, it would be really nice to have that  
21 just because that would be a true smoking gun. If IQs  
22 went up, then we're able to say, you know, it's pretty  
23 evident.

24                   **DR. ANNA LOWIT:** Okay. So one last

1 point, and we will go call Dr. Barr. Keep in mind as  
2 you deliberate, that we are under court order to  
3 complete this action. That's within eight months.  
4 December is eight months from now, so we're under a  
5 very short timeframe.

6 So I would, again, Russell, remind you  
7 that the cohort does include the kids born in 2000,  
8 2001, and 2002, which would have been after the  
9 cancellation for which they have measured those  
10 metrics and they have also done the Working Memory.  
11 So we actually have -- I don't know the numbers off my  
12 head. But I believe there are actually more kids  
13 after -- it's an easy number to get to -- than there  
14 are before.

15 So adding additional children born  
16 later, I guess I would, again, ask you how that  
17 information isn't already included.

18 **DR. JAMES MCMANAMAN:** I'm a little  
19 confused now, too. So the odds ratios that you just  
20 had up on the slide that I asked about, those were  
21 odds ratios for the kids born before and after; is  
22 that correct?

23 **DR. ANNA LOWIT:** It's the low versus  
24 the high.

1                   **DR. JAMES MCMANAMAN:** Well, I thought  
2 the low versus the high were the kids born before and  
3 after, essentially.

4                   **DR. ANNA LOWIT:** It's not a perfect  
5 separation, but conceptually, it's basically like  
6 that.

7                   **DR. JAMES MCMANAMAN:** So that's where  
8 the issue lies, then, whether that is truly before and  
9 after or whether it's essentially before and after, I  
10 guess, is the question.

11                   **DR. ANNA LOWIT:** Well, if it would be  
12 helpful, we can actually pull up the full table, not  
13 the little short one that we've got in the slides, and  
14 talk through the temporality of those data and talk  
15 through how '98/'99 are strikingly different from  
16 every other year. And even as the year 2000, you can  
17 almost see a transition as the numbers incrementally  
18 go down, and then strikingly go down further in 2001,  
19 and how comparable 2001 are to 2004.

20                   If that would be useful, we can do  
21 that. Or if it's not, you all have the tables.

22                   **DR. JAMES MCMANAMAN:** I think it would  
23 be useful. Dr. Sagiv.

24                   **DR. SHARON SAGIV:** I disagree. I think



1 that having the actual levels is important. And  
2 looking at the temporality, I don't see how that adds  
3 to having the actual chlorpyrifos levels unless we are  
4 doubting the analytic method. That's the only way I  
5 could see that might be -- I don't see why looking at  
6 the before and after piece would be value added over  
7 looking at the dichotomy of high versus low actual  
8 measured levels.

9 **DR. STELLA KOUTROS:** I agree. From an  
10 exposure assessment perspective in an epidemiologic  
11 study, having a binary before and after is much more  
12 crude than the measure levels that we have.

13 **DR. DIANE ROHLMAN:** I also agree with  
14 that. I think if we think of the cord blood measures  
15 in the grouping into the high and low, it is really a  
16 surrogate for this before and after the regulations.  
17 So including both of those is a duplicate. And we  
18 have much more rigorous methods, as Stella just  
19 pointed out, with the cord blood levels.

20 **DR. JAMES MCMANAMAN:** Dr. Popendorf.

21 **DR. WILLIAM POPENDORF:** Well, I don't  
22 know if this is quite the time to bring it up, but I  
23 disagree with the validity of the cord blood data,  
24 really. Whether it's to the magnitude that it would

1 obviate this particular grouping above and below six,  
2 I'm not sure. But I think there is some real question  
3 about the values, particularly the high values that  
4 we'll talk about later, that might be gotten around by  
5 just looking at dates independent of a measured cord  
6 blood level.

7 **DR. JAMES MCMANAMAN:** Okay. With that,  
8 I think we should take a break. I hope that the  
9 questions that we have, the panel has, are clear, and  
10 there is certainly some disagreement. But let's take  
11 a break and see if we can sort this out.

12 (Brief recess.)

13 **DR. JAMES MCMANAMAN:** There were  
14 questions that were outstanding when we took the  
15 break, and we're going to formulate these questions  
16 into specific questions that we will ask -- that the  
17 Agency will ask the principal author of the papers to  
18 clarify over the next hour or so. And we will --  
19 based on the response, we will read that back into the  
20 record and see if we can clear up this question, the  
21 analytical question.

22 Right now, given the late time, we're  
23 not going to -- take a lunch break at 12:30. I'm not  
24 sure how long the next presentation is. Will it fit

1 within that timeframe, or near that timeframe, I  
2 guess?

3 **DR. CECILIA TAN:** I can try to do it in  
4 30 minutes.

5 **DR. JAMES MCMANAMAN:** So Fred is saying  
6 12:15 for a break, for lunch break. I don't know  
7 whether that gives us enough time.

8 **DR. CECILIA TAN:** The alternative is  
9 for us to collect the questions from the last  
10 discussion.

11 **DR. JAMES MCMANAMAN:** We're trying to  
12 formulate them so they are very clear questions.

13 **DR. ANNA LOWIT:** Are we going to get  
14 the questions in the next half hour or will it be  
15 after the lunch break?

16 **DR. JAMES MCMANAMAN:** No. We'll get it  
17 before the lunch break. I don't know how long your  
18 next presentation is.

19 **DR. CECILIA TAN:** I can do it in 30  
20 minutes.

21 **DR. JAMES MCMANAMAN:** Okay. Good. Go  
22 for it.

23 **DR. CECILIA TAN:** My name is Cecelia  
24 Tan, and I am a research scientist at the Office of

1 Research and Development. I am currently on detail  
2 assignment with the HED, OPP.

3 Before I start, I would like to -- I  
4 understand there are a lot of concerns about the,  
5 reservation about the data, the biomarker data that we  
6 have presented. But I would like to ask you, for the  
7 next 30 minutes, just assume that there is no problem  
8 with the data, and to just focus on whether or not you  
9 think our approach is appropriate to link biomarker  
10 data, specifically chlorpyrifos concentration in blood  
11 to exposure. Thank you.

12 So my presentation today will cover two  
13 topics. The first topic is a brief introduction to  
14 this tool, physiologically based pharmacokinetic, PBPK  
15 model, and how its capability to link external  
16 exposure to internal does allow us to apply this tool  
17 in risk assessment or biomarker interpretation. Also,  
18 I will provide a brief introduction to the PBPK model  
19 for chlorpyrifos.

20 In the second half, the second topic,  
21 we will focus on the two main uncertainties in  
22 interpreting biomarker data. They are likely exposure  
23 scenarios and time between exposure and sampling. And  
24 I will explain how we used the PBPK modeling and

1 exposure assessment approach in OPP in survey data to  
2 address these uncertainties.

3 PBPK model, physiologically based  
4 pharmacokinetic model. The PK stands for  
5 pharmacokinetic, and pharmacokinetics is the study of  
6 absorption, distribution, metabolism, and excretion,  
7 ADME, of chemicals in the body. A PBPK model is a  
8 series of mathematical representations of biological  
9 tissues, as well as the physiological processes, in  
10 the body that simulate the ADME of chemicals that  
11 enter the body.

12 PBPK modeling has been recognized by  
13 the Agency that it is a scientifically sound and  
14 robust approach to estimating the internal dose of a  
15 chemical. And also it is a means to evaluate and  
16 describe the uncertainty in risk assessment. These  
17 two quotes came directly from EPA report in 2006.

18 PBPK models, again, incorporate  
19 physiological determinants. For example, tissue  
20 volume, blood flow rates, and biochemical processes,  
21 such as metabolism or protein binding of how they  
22 determine chemical disposition. It can be used to  
23 simulate the time course of internal dosimetry, such  
24 as blood concentration of a parent compound or a liver

1 concentration of a metabolite under different exposure  
2 scenarios, for example, single daily oral exposure or  
3 eight-hour-per-day dermal exposure in different  
4 species.

5 In addition to the Agency, the larger  
6 scientific community has recognized PBPK modeling as a  
7 sound and quantitative tool to support risk  
8 assessment. When applying PBPK modeling in risk  
9 assessment, the underlying assumption is that an  
10 equivalent biological response occurs at equal tissue  
11 dose, not at the equal external dose. PBPK model can  
12 be used to organize available mechanistic data and  
13 used to identify data gaps and suggest new  
14 experiments.

15 But the power of PBPK model lies in its  
16 capability to predict chemical concentrations under  
17 new and inaccessible conditions. PBPK models have  
18 been used in the past 20 years or so by academia,  
19 industry, consultants, other government agencies to  
20 extrapolate from high to low dose, and from animals to  
21 humans, different routes, route-to-route  
22 extrapolation, as well as across life stage, for  
23 example, from adults to infants.

24 PBPK models are also used to quantify

1       uncertainty and variability in physiology, for  
2       example, a different body weights in a population and  
3       ADME, for example, different capability to metabolize.  
4       It can also be linked to pharmacodynamic PD models to  
5       predict biological endpoints.

6                       I want to emphasize that the PBPK model  
7       predicts tissue dosimetry, the concentrations, but not  
8       the biological endpoints. You have to link it to some  
9       sort of dose-response model.

10                      In addition to risk assessment, another  
11       application of PBPK modeling is biomarker  
12       interpretation. So for biomarker interpretation,  
13       simply having a good model is not enough. When the  
14       purpose is to link PBPK -- link biomarker data to  
15       exposure, we need to know what are the likely exposure  
16       scenarios. We also need to know when and how  
17       biomarker samples are collected. Once we have that  
18       kind of knowledge, we can either use forward or  
19       reverse dosimetry to link biomarker concentration to  
20       exposure. In the next slide, I will give you more  
21       detail on what I mean by forward and reverse  
22       dosimetry.

23                      If the purpose is to link biomarker  
24       data to health effects such as what is being done in

1 epi studies, we can use PBPK model to evaluate whether  
2 a biomarker that is measured in accessible media is a  
3 good surrogate for target tissue dose, for example,  
4 metabolite in urine is a biomarker. Is that a good  
5 surrogate for, for example, a parent compound.

6 Some definitions of forward and reverse  
7 dosimetry. Forward dosimetry is using the model and  
8 likely exposure scenarios, including the routes, the  
9 doses, the duration, and frequency of exposure to  
10 predict a biomarker concentration at a specific time  
11 point that matches the biomonitoring study and then  
12 comparing that prediction with the measured data.

13 Reverse dosimetry is you start with the  
14 biomarker concentration, incorporate your knowledge  
15 about the exposure scenarios and that temporal  
16 relationship between exposure and sampling to back  
17 calculated a range of possible exposure concentration.

18 Whether we use forward or reverse  
19 dosimetry, and even if you have the perfect model, it  
20 is critical to have knowledge about likely exposure  
21 scenarios in that time between exposure and biomarker  
22 sample collection. Here is a figure to further  
23 illustrate this point.

24 So here I have four different curves



1 representing the blood concentration of a chemical  
2 measured in blood over time. These four different  
3 curves represent four different exposure scenarios.  
4 For example, the green curve is repeated exposure to  
5 the same dose over time. And the brown curve  
6 represents two exposure events -- it's probably hard  
7 to see the little blip in front of the big one. So  
8 these are two exposure events at very different doses.

9 So if a biomarker sample is taken at  
10 that point where the red arrow is pointing, you would  
11 have measured the same concentration from four very  
12 different exposure scenarios. So you really want to  
13 know what are the likely exposure scenarios for those  
14 individuals who you collected the biomarker samples.

15 The next source of uncertainty is time  
16 between exposure and biomarker sample collection. If  
17 we now look at -- it's kind of hard to see the color -  
18 - the one in the middle, the orange curve, it is one  
19 exposure scenario. And if you look at the blue  
20 arrows, that represent taking biomarker samples at  
21 different time points. It really just depends on when  
22 you take the sample. Even though it's from the same  
23 exposure, your biomarker measurements will be  
24 different because of that time.

1                   So now let's move on to chlorpyrifos.  
2           There is a PBPK-PD model available for chlorpyrifos.  
3           This PBPK-PD model was used in the 2014 Human Health  
4           Risk Assessment to derive point of departure based on  
5           10 percent cholinesterase inhibition by oxon in red  
6           blood cells.

7                   The scenarios that we look at are food  
8           exposure to chlorpyrifos, drinking water exposure by  
9           oxon-only exposure, currently registered non-  
10          occupational use, and worker exposure.

11                   What is different in this current  
12          analysis, in the 2016 analysis, we did not use the PD  
13          model. We only used the PBPK model to simulate  
14          chlorpyrifos concentration in blood. The model is not  
15          being used to derive point of departure. It is just  
16          predicting blood concentration compared with exposure  
17          in the scenario we look at, food, water exposure, and  
18          worker exposure in our case studies, which we will  
19          present later this afternoon.

20                   Here is a graphical representation of  
21          the chlorpyrifos PBPK-PD model. Since this model has  
22          been reviewed in the earlier SAP, I'm not going to  
23          talk about the detail of the structure. But mainly  
24          just to show you that this model predicts blood and

1 tissue concentration of chlorpyrifos and oxon, also  
2 the urine concentration of TCPy. In addition, there  
3 is a PD model that predicts the binding of oxon and  
4 cholinesterase in different tissue highlighted in blue  
5 there.

6 The chlorpyrifos PBPK-PD model was  
7 originally developed in 2002 and has been refined over  
8 the years as more data has become available. The  
9 latest version of the model includes multi-route  
10 exposure, oral, dermal, inhalation, and is published  
11 in the 2014 paper listed here. This model was  
12 reviewed by the SAP in 2011, and the Agency has  
13 continued to evaluate the model as it is being  
14 refined.

15 And here is to show you the  
16 chlorpyrifos model is one of the very few models that  
17 has had a lot of in vitro data for model calibration  
18 and evaluation. The grey highlighted boxes show what  
19 kind of data exists. And then there are data in both  
20 rat and human, in oral, inhalation, and dermal routes.  
21 The blood concentrations of chlorpyrifos-oxon TCPy as  
22 well as cholinesterase inhibition in different tissue  
23 and plasma, and also RBC. Also, I want to highlight  
24 that in blue here, we also have human data available

1 for both oral and dermal exposure. And then the data  
2 that is available are chlorpyrifos concentrations in  
3 blood.

4 So earlier I mentioned that we will be  
5 talking about the pregnant versus non-pregnant  
6 difference. So the biomarker measured in the Columbia  
7 cohorts were chlorpyrifos in cord blood. And we  
8 already showed you earlier that we believe that there  
9 is a strong correlation between the maternal blood and  
10 cord blood.

11 In our modeling analysis, we are using  
12 a 75 kg female as a surrogate for a pregnant woman.  
13 There is a version of the PBPK model that is available  
14 to describe the physiological changes and biochemical  
15 changes during pregnancy. During pregnancy, a lot of  
16 things are changing. For example, the fat to block  
17 partition lowers, but the fat mass increases, same as  
18 the other tissues. And there is this rapid increase  
19 in body weight and increase in blood volume; increase  
20 in urinary clearance; and also some changes of  
21 metabolism.

22 And then these changes are dynamic and  
23 complicated, and we are really lucky to have a model  
24 that can describe those changes.

1                   This model is not peer-reviewed or  
2 published, and there are no time course data available  
3 to evaluate this version of the model. So we decided  
4 not to use the pregnant model in our analysis. But  
5 this model was built based on the best knowledge  
6 available of these changes during pregnancy.

7                   Some preliminary results from comparing  
8 the pregnancy model and the average adult model  
9 suggested that because these combined effects of all  
10 these changes during pregnancy, the women in the third  
11 trimester has slightly lower chlorpyrifos  
12 concentration than non-pregnant women: slightly  
13 lower, not a lot. So we think it is reasonable to use  
14 a 75 kg female as a surrogate for a pregnant woman.

15                   And now for the rest of my  
16 presentation, I'm going to focus on the two  
17 uncertainties when linking biomarker to exposure.

18                   The first one is exposure scenario.  
19 The Agency has evaluated different scenarios likely to  
20 have occurred in the Columbia cohort and whether these  
21 scenarios could have resulted in the observed cord  
22 blood concentration found in this study. The  
23 scenarios that we looked at include food, drinking  
24 water, and residential indoor application use. The

1 detail of this evaluation will be presented later this  
2 afternoon.

3 What we found is that the most likely  
4 exposure scenario for these women in the Columbia  
5 cohort was dermal exposure from this once-a-month  
6 application of the pesticide. Again, the detail will  
7 be provided later this afternoon.

8 In this figure, I'm showing the time  
9 course of chlorpyrifos concentration, predicted  
10 chlorpyrifos concentration in blood, which has a unit  
11 of pg/g over a time period of about 33 days. What we  
12 are simulating here is daily dermal exposure for eight  
13 hours per day for 30 days to mimic the once-a-month  
14 application. It has an initial dermal dose of around  
15 65 µg per kilogram, and the dose drops 10 percent per  
16 day. This exposure assumption, again, will come up  
17 later this afternoon.

18 The second source of uncertainty, as I  
19 mentioned earlier, is the time between exposure and  
20 sampling. For a lot of biomarker studies, we really  
21 don't know when the sample was taken in relation to  
22 the exposure. But for this specific cohort, it is a  
23 very unique condition because chlorpyrifos was  
24 measured in cord blood during delivery, and the time

1 between exposure and sampling is likely to be the time  
2 when these women leave their apartment, that's the end  
3 of exposure, and the time they deliver the baby.

4 Now, reported time for labor and  
5 delivery for the first pregnancy ranged from 8 to 20  
6 hours. It may become faster for subsequent  
7 pregnancies.

8 You may say an average of 8 to 20 hours  
9 is still a really wide time range. But what I'm going  
10 to show you here is that because of the unique  
11 physical chemical properties of chlorpyrifos, and  
12 because of this unique labor and delivery condition,  
13 we can bind that biomarker concentration.

14 So what I'm showing you here is the  
15 last 140 hours from the figure, this figure I showed  
16 you earlier. So I'm looking at the end of exposure.

17 For chlorpyrifos, the clearance of  
18 chlorpyrifos is through the distribution to different  
19 tissues and also by metabolism and excretion of  
20 chlorpyrifos. Immediately after the exposure,  
21 chlorpyrifos is distributed to different tissues  
22 quickly and gets metabolized and binds with  
23 cholinesterase and excreted from the body. The half-  
24 life in this first phase is around three to four

1 hours, and this biphasic clearance is true for most of  
2 the chemicals.

3 About 8 to 10 hours after exposure, the  
4 clearance phase entered into this, we call the  
5 terminal half-life stage. The half-life during this  
6 stage for chlorpyrifos is about 120 hours, or five  
7 days. The reason it takes that long is because during  
8 the second phase, the chlorpyrifos is not being  
9 distributed to the tissues any more. It is only  
10 available for metabolism and excretion if it is  
11 available in blood. And because chlorpyrifos has a  
12 LogP of around five, it is sequestered in fat, and  
13 then it is slowly released into the blood. So it is  
14 slowly being cleared.

15 To give you some context when it means  
16 are rapidly cleared and slowly cleared, let's start at  
17 a peak. There some numbers here that you can look at.

18 The peak concentration is around 63  
19 pg/g, and four hours after the peak, it is already at  
20 one-third of that concentration. Really fast. And  
21 around eight hours after the peak, the concentration  
22 is at around 14. Between eight and 20 hours, it only  
23 drops about 3 pg/g. And even two days after the peak,  
24 or 28 hours after the 11 pg/g level, it only drops to



1 9.6.

2 So for chlorpyrifos, we know that it's  
3 specific, this rapid clearance and the slow clearance  
4 in the second phase. Also, the time between labor and  
5 delivery is not going to be, I don't know, one hour  
6 immediately after exposure. There is a range. And  
7 during this range, chlorpyrifos concentration in blood  
8 is pretty stable. So we think the biomarker data that  
9 was measured is a reasonable surrogate for exposure,  
10 even though there is a lot of uncertainty.

11 Here's a summary. The PBPK model for  
12 chlorpyrifos has been evaluated using the human data,  
13 including chlorpyrifos concentration in blood, which  
14 is the biomarker measured in the Columbia cohort  
15 studies. We think using the PBPK model and our  
16 exposure assumptions and then also the survey data,  
17 all this information put together, we can address the  
18 two major uncertainties in interpreting biomarker  
19 data, which are exposure scenarios and time between  
20 exposure and biomarker sampling.

21 The likely exposure scenario, again,  
22 you will hear more this afternoon, is residential  
23 indoor use. And then the time between exposure and  
24 biomarker sampling, again, because of this unique

1 labor and delivery condition, it is about four hours  
2 and even up to two days that biomarkers that we are  
3 predicting is pretty stable.

4 Questions? Maybe I don't want any  
5 questions.

6 **DR. JAMES MCMANAMAN:** Thank you very  
7 much. So what we're going to do is we're going to  
8 break for lunch at 12:15.

9 So what I would like to do is maybe ask  
10 some immediate questions right now, and then I have  
11 some questions to be read into the record related to  
12 the analytical question. Dr. Hayton.

13 **DR. WILLIAM HAYTON:** Thank you. Very  
14 quickly, was that cord blood simulated or mother's  
15 blood?

16 **DR. CECILIA TAN:** It's mother's blood,  
17 and we are using non-pregnant women as a surrogate for  
18 pregnant women.

19 **DR. WILLIAM HAYTON:** Okay. And do you  
20 ever simulate cord blood?

21 **DR. CECILIA TAN:** No, because the model  
22 does not have that. We decided not to use the  
23 pregnant model.

24 **DR. JAMES MCMANAMAN:** Dr. Sweeney.

1                   **DR. LISA SWEENEY:** Can you back up to  
2 slide number 73, the one that has the full 720-hour  
3 time course -- that one.

4                   As I understand it from looking at the  
5 slide, you basically started with zero, whereas, if  
6 you had basically two months back-to-back, that your  
7 trough wouldn't be so low at the bottom. If you look  
8 at the bottom, you're sort of building up your trough.  
9 Is that because basically you're building up the fat  
10 stores, and so it's dropping more as a percentage in  
11 the early days? I guess a more realistic version of  
12 the Columbia scenario would be sort of two of those  
13 back-to-back and then do the analysis from the first?

14                   Because if you look at that, the  
15 decline from the peak the same day to the delivery is  
16 going to be more if you happen to be at the beginning  
17 of the month. So that's actually sort of an artifact  
18 from -- okay. I wanted to be clear on that.

19                   So if you go back to slide 75, two down  
20 from that, it shows the last peak. So that's really  
21 probably more representative of the decrease from peak  
22 to four to eight hours. Okay.

23                   I spent a lot of time reading Dale  
24 Haddis's comments, and it seems he has data that you

1 have not incorporated or did not have access to. For  
2 example, in his analysis, he has specific information  
3 on the time from hospital admission to delivery. So  
4 it has the length of time for each -- and it's  
5 correlated to a blood sample concentration because he  
6 correlates the time from entering the hospital to the  
7 blood concentration. So it seems like for every  
8 individual in the study, he has the amount of time for  
9 the delivery. So it seems like for each point, you  
10 could back it up to a previous peak, that you don't  
11 have to just assume number of hours for labor.

12 **DR. JAMES MCMANAMAN:** This is for  
13 clarification not for -- when we get to the charge  
14 question, we can --

15 **DR. LISA SWEENEY:** Yeah. Okay. I was  
16 just, you know, pointing out that you made assumptions  
17 here where maybe if you had access to all of the data  
18 -- there's evidence of this data being available  
19 within the last couple of years. So it's not going  
20 back a decade.

21 **DR. JAMES MCMANAMAN:** We can discuss  
22 that during deliberations.

23 **DR. LISA SWEENEY:** Okay. I just wanted  
24 to clarify whether you had the data or not.

1                   **DR. ANNA LOWIT:** One point of  
2 clarification for Dr. Sweeney: Dr. Haddis has  
3 collaborated with the Columbia investigators for a few  
4 years, and he has access to information that other  
5 people outside their group has not had access to.

6                   **DR. LISA SWEENEY:** Okay. That  
7 clarifies that. Thank you very much.

8                   Also on this figure, did you  
9 specifically do a sensitivity analysis of the  
10 predicted blood concentrations, say, at 720 hours, or  
11 something like that, to verify that it is specifically  
12 sensitive to the fat plasma partition coefficient or  
13 any other model parameters? Did you do an analytical  
14 and not just sort of a, yeah, I think this is why it  
15 is, but actually mathematically say, yes, if the fat  
16 partition coefficient were three times as high, it  
17 would be different?

18                   **DR. CECILIA TAN:** Dr. Sweeney, we did  
19 not do a sensitivity analysis with the output of blood  
20 concentration of chlorpyrifos. That is an excellent  
21 idea. We do, however, look at a range of exposures  
22 and also compare that with -- just to predict blood  
23 concentration from a range of exposures, sort of like  
24 a variability analysis. And what we found is that the

1 scenarios that we look at kind of bound the data that  
2 was found in the Columbia studies. But we did not do  
3 a sensitivity analysis.

4 **DR. LISA SWEENEY:** Thank you.

5 **DR. JAMES MCMANAMAN:** Okay. So I think  
6 we'll hold questions until after the lunch break,  
7 further questions. And let me read these questions.  
8 You're going to transcribe these in, but we will also  
9 print them out and make them available.

10 So the questions are related to the  
11 analytical -- specifically related to the analytical  
12 question and the apparent discrepancy with that. This  
13 is related to the Barr et al. (2002) paper which gives  
14 the limit of detection for chlorpyrifos at 1 pg/g.  
15 But 0.5 to 1 pg/g were used as the limit of detection  
16 in the epidemiological studies and referred to in a  
17 number of subsequent papers. So there is a difference  
18 between limited detection and what was used in the  
19 papers.

20 And in another paper by Perez et al.  
21 (2010), it gives chlorpyrifos a limit of detection as  
22 21 pg/ml with a linear range in the microgram per  
23 milliliter range of 21 to 6700. So it's got a limit  
24 of detection of parts per trillion, but the linear

1 range was in parts per billion. So there's another  
2 discrepancy there.

3 **DR. ANNA LOWIT:** Is it possible to get  
4 a Xeroxed copy of what you're reading from?

5 **DR. JAMES MCMANAMAN:** Yes. Would that  
6 make it easier?

7 **DR. ANNA LOWIT:** Yeah. You're going  
8 pretty fast.

9 **DR. JAMES MCMANAMAN:** Okay. So we'll  
10 just Xerox this. But let me read the questions in  
11 just so they are in the record.

12 The questions are, how can quantitation  
13 be done outside the limits of the linear range?

14 What was the signal to noise ratio on  
15 the limit of detection?

16 And in Table 1 of the issues document,  
17 which is on page 14, it gives the results as 2500  
18 pg/g, which is 2500 ppt. And it looks like the 2500  
19 pg/g was below the limit of quantitation. How does  
20 that convert to the cutoff data in pg/g of 6.17? So  
21 the 6.17 looks like it's really below the 2500 pg/g  
22 that was used in that issues document.

23 So those are the questions.

24 **DR. ANNA LOWIT:** Well, the last one we

1 can help. We can cover the last one. We apologize  
2 for the quality of the table. That's the way it was  
3 provided to us by the Columbia investigators. You  
4 have to look very closely. It's actually .25, not  
5 2500. There's a 0.2500, is actually what the values  
6 are. That is below the LOD. That was the indication  
7 that was sent to us.

8 **DR. JAMES MCMANAMAN:** My glasses aren't  
9 good enough to read that table.

10 **DR. EHRICH:** They say pg/g, and then  
11 they gave 2500 below it? Is that 2.5?

12 **DR. ANNA LOWIT:** Are you looking at the  
13 --

14 **DR. EHRICH:** I'm looking at page 14.

15 **DR. ANNA LOWIT:** It's a .25.

16 **DR. EHRICH:** But then 2.5 would have  
17 been below the limit of detection. See, there's a  
18 mess with limit of detection --

19 **DR. ANNA LOWIT:** That's the way it was  
20 sent to us. They did that indication of the .25 to  
21 signify all the samples as below the LOD. If you look  
22 at the footnote to the table, it should say that.

23 **DR. EHRICH:** What footnote? We don't  
24 have a footnote.



1                   **DR. ANNA LOWIT:** There's no footnote?  
2 There should be a footnote.

3                   **DR. JAMES MCMANAMAN:** This is the white  
4 paper that was distributed for this particular panel  
5 meeting on page 14.

6                   **DR. ANNA LOWIT:** Yes. So I have it  
7 open in front of me, also. Again, we apologize for  
8 the quality of the appearance. But it's .2500. So if  
9 you start at the top, it's .2500 and then again and  
10 again and again. And then -- should be point five-  
11 something. And then I think that's a three -- three  
12 point-something, and nine point-something. I'll have  
13 to blow it up to read it more closely.

14                   **DR. EHRICH:** But if it's .25 pg/g,  
15 which is what they have on the top, that's below what  
16 they've given as a limit of detection. How can you --

17                   **DR. ANNA LOWIT:** It's not intended to  
18 be quantified. It's intended to indicate that all the  
19 values are below the LOD. It's probably indicated as  
20 half the LOD at the point five.

21                   **DR. EHRICH:** We had no information to  
22 let us know that.

23                   **DR. JAMES MCMANAMAN:** Okay. So we  
24 have, again, this will be Xeroxed and give it to you.

1 Marion, did you have another question?

2 **DR. EHRICH:** No, that was --

3 **DR. JAMES MCMANAMAN:** So then the other  
4 questions the panel would like clarification on from  
5 the Agency is were there instrument differences  
6 between the early 1998/'99 and later analytical  
7 measures?

8 I thought that you answered that, but  
9 could you just clarify whether there were or not?

10 Number 2 is cross-validation -- were  
11 there cross-validation of early samples with later  
12 methods of instrumentation?

13 And then there is a question about the  
14 chain of custody.

15 So those are the questions if they're  
16 available and can get access to them, we would like to  
17 have answers to, and we will make copies of these and  
18 provide them.

19 **DR. ANNA LOWIT:** So the plan is you  
20 will give us those Xeroxed copies, we will type them  
21 in over lunch, email them to Dana Barr, and she is  
22 standing by to respond. So as soon as we get those --

23 **DR. JAMES MCMANAMAN:** And the Agency  
24 will respond with some clarification.

1 DR. ANNA LOWIT: Right.

2 DR. JAMES MCMANAMAN: Okay. So we're  
3 going to take an hour lunch break. So be back at  
4 1:17.

5 (Whereupon, at 12:15 p.m., a luncheon  
6 recess was taken.)

7 DR. JAMES MCMANAMAN: Who's up next?  
8 Oh, that's right. I'm sorry. Yes?

9 DR. WILLIAM POPENDORF: I had a  
10 question, if I find your slides here. On your slide,  
11 you are talking -- there was a slide that had the  
12 information on duration of active labor, I believe it  
13 was, or something related to labor. Yes. And in the  
14 issues paper, Neil 2010 was referenced, and that it  
15 was like six-hours average with a standard deviation  
16 of three-and-a-half. Your numbers here are different  
17 from Neil's, and what I thought was in the issues  
18 paper. So why is there a difference?

19 DR. CECILIA TAN: There are many  
20 different sources that report the time for labor and  
21 delivery. You're right; in that issue paper we have  
22 that number from a specific reference. And this  
23 number, I also found it on other medical references.

24 But our point is to show that even if

1 considering a very short -- short labor time, say,  
2 four hours or less, three hours, and up to, say, two  
3 days. What I show here is that the concentration  
4 within the time range is pretty stable.

5 **DR. WILLIAM POPENDORF:** So there is a  
6 difference. We can discuss the meaning of it later,  
7 but there is a difference in what you're showing here.  
8 But you do have references for those numbers. So,  
9 okay.

10 **DR. CECILIA TAN:** Right. There are  
11 discrepancies, everyone reporting different things,  
12 different studies. They should have different  
13 results.

14 **DR. WILLIAM POPENDORF:** Okay.

15 **DR. JAMES MCMANAMAN:** Other questions?  
16 (Whereupon, there was no response.)

17 **DR. JAMES MCMANAMAN:** Okay. So, the  
18 next session is by Wade Britton, Rochelle Bohaty, and  
19 Danette Drew, and I don't know who goes first.

20 Was there another question? Sorry.  
21 Absolutely. I didn't see your hand up.

22 **DR. JEFFREY FISHER:** Yes, it's me.

23 You didn't go into details, but in the  
24 document, it talks about problems with axle

1 (phonetic). I don't know if it's memory problems.  
2 And I couldn't understand how you dealt with  
3 integrating the exposure routes with a PBPK model  
4 drinking water relative to the problem that was  
5 apparent with the software. I don't know what those  
6 sentences mean. I could look them up. I don't mean  
7 to put you on the spot.

8 **DR. WILLIAM POPENDORF:** It had to do  
9 with the duration of the runs that exceeded the  
10 capacity of the computer software.

11 **DR. CECILIA TAN:** Dr. Fisher, to  
12 respond to that question, I'm referring to the runs  
13 that -- results that we'll show in the next  
14 presentation. So for drinking water scenarios, we  
15 have data for a full year, water concentrations for a  
16 full year. But the software is not able for me to run  
17 hourly to integrate. So the model is run in units of  
18 hours. So you can imagine 365 days, that's how many  
19 hours. The software is not capable to -- the memory  
20 problem. It cannot retain all the numbers.

21 And it didn't matter in our case  
22 because we could run simulations in segments. But  
23 what we did is, we choose the maximum concentrations  
24 within that year. If you see the concentration in the

1 next presentation, you'll see that it's pretty flat  
2 throughout the year, and then there were some few  
3 peaks; and we focus on those high exposure to predict  
4 blood concentrations.

5 **DR. ANNA LOWIT:** Just for clarity, the  
6 issue we're talking about will actually come in the  
7 afternoon at the 3 o'clock presentation. But we're  
8 talking about what is Figure 9 in the document. We  
9 can talk about it later, but that's the run that we're  
10 talking about.

11 **DR. JAMES MCMANAMAN:** Okay. So we're  
12 asking if there are any follow-up questions to the  
13 presentation that came before the break. Those  
14 members that just came in, if they have questions,  
15 feel free to ask them now. If not, we will move on to  
16 the next presentation.

17 (Whereupon, there was no response.)

18 **DR. JAMES MCMANAMAN:** Seeing none, I'll  
19 turn it back to the Agency for the next presentation.

20 **DR. WADE BRITTON:** Good afternoon. My  
21 name is Wade Britton. I'm an environmental health  
22 scientist with the Health Effects Division of the  
23 Office of Pesticide Programs. Today I will be joined  
24 by Dr. Rochelle Bohaty, Environmental Effects Division

1 of the Office of Pesticide Programs, as well as  
2 Danette Drew, chemist with the Health Effects  
3 Division.

4 Today we will be presenting the  
5 evaluation of Columbia blood data and predicted  
6 exposures.

7 I'll just begin stepping through an  
8 outline of the presentation today. We begin our  
9 presentation with an introduction of the evaluation of  
10 the likely exposures to the women in the cohort.

11 We will then present the methods used  
12 and the results of our evaluation for these likely  
13 exposures. These exposures include drinking water,  
14 food, and residential.

15 Finally, we will present the  
16 conclusions of our evaluation.

17 The report from the 2012 SAP urged the  
18 Agency to find new ways to use the epidemiology  
19 studies from the three major children's cohort studies  
20 to inform the chlorpyrifos assessment, in particular,  
21 the Columbia study. The report stated that assuming  
22 these data reflect exposure levels during critical  
23 period of prenatal development, then these data will  
24 be ideal to derive a point of departure for

1 chlorpyrifos.

2 For use of the epidemiology studies,  
3 the Agency was encouraged to apply the chlorpyrifos  
4 PBPK model to further characterize Columbia dose  
5 estimates through additional analyses in the event the  
6 Agency decided to move beyond the acetylcholinesterase  
7 inhibition endpoint.

8 We saw this slide earlier today, but I  
9 wanted to show it again just because it's afternoon  
10 and for a point of review. We are talking about the  
11 Mothers and Newborns Study of North Manhattan and  
12 South Bronx, which was conducted by Columbia  
13 University.

14 Columbia measured parent chlorpyrifos  
15 in the cord blood and other indicators including air  
16 sampling, as well as behavioral information.

17 The other two birth cohorts, the  
18 CHAMACOS and Mt. Sinai birth cohorts, generally  
19 measured non-specific urinary metabolites of  
20 chlorpyrifos and other OPs, including TCPy and DAPs.  
21 For this reason, EPA has focused its exposure analysis  
22 on the Columbia study data as it is the most relevant  
23 to the chlorpyrifos Human Health Risk Assessment.

24 We're becoming more familiar with this



1 slide; you'll see this many times today. This is the  
2 distribution of the Columbia blood data. Again, as  
3 presented, we see '98/'99 blood levels compared to the  
4 2001 blood levels for both cord blood and the maternal  
5 blood.

6 The point to make here is that we are  
7 using this data for basis of comparison to PBPK-  
8 predicted chlorpyrifos blood levels resulting from the  
9 likely exposures to the women in the cohort.

10 Just to give some history for  
11 chlorpyrifos, and also we've heard some of this  
12 earlier, but I will reiterate some points.  
13 Chlorpyrifos was one of the most widely used  
14 insecticides during the time of the Columbia cohort,  
15 and we believe these exposures were likely to have  
16 occurred. It was used on a variety of food and feed  
17 crops at the time of the Columbia study, and these  
18 uses continue today.

19 For the cohort, dietary exposures,  
20 including both drinking water and food, are likely  
21 given these uses.

22 In 2000, nearly all the residential  
23 uses of chlorpyrifos were voluntarily canceled. Until  
24 that time, they remained one of the most widely used

1 residential insecticides on the market.

2 In accordance with the 2012 SAP report,  
3 EPA has evaluated the exposures likely to have  
4 occurred to the Columbia cohort, and with use of the  
5 PBPK model, whether these exposures could have  
6 resulted in this study of chlorpyrifos blood levels.  
7 The following steps were taken to conduct these  
8 evaluations.

9 We first had to define the exposures  
10 likely to have occurred to the women in the Columbia  
11 cohort, these being, again, the drinking water, food,  
12 and residential exposures.

13 Next, these exposures were estimated  
14 using standard EPA methodologies.

15 Finally, these exposures were estimated  
16 for each route of exposure, were inputted into the  
17 PBPK model, and the resulting chlorpyrifos blood level  
18 predictions were compared to the Columbia study blood  
19 levels.

20 At this time, I will direct your  
21 attention to Dr. Rochelle Bohaty who will discuss the  
22 drinking water exposure evaluation.

23 **DR. ROCHELLE BOHATY:** Hi everyone. I'm  
24 Rochelle Bohaty in Environmental Fate and Effects

1 Division. I'm a chemist and an exposure scientist  
2 here. I want to share with you the analysis that I  
3 did to determine the potential exposure of the cohort  
4 to chlorpyrifos via drinking water.

5 Surface water is the primary route of  
6 exposure for chlorpyrifos as 99 percent of New York  
7 City's water is supplied through sourced surface  
8 water. I have identified the watersheds shown on this  
9 map of where the source drinking comes for New York  
10 City.

11 One thing that's interesting or  
12 important to note is that New York City watershed,  
13 they have a protection plan that many believe to  
14 eliminate the potential contamination of the drinking  
15 water. However, after careful examination of that  
16 watershed protection plan, I cannot simply rule out  
17 the potential exposure to chlorpyrifos. The  
18 protection plan primarily relies on best management  
19 practices that likely reduce the potential exposure  
20 but cannot completely eliminate it.

21 As an example to this, I have a second  
22 map showing down here the same watersheds that you see  
23 on this map are like underneath an agricultural use  
24 site layer where you can see there is an overlap of

1 agricultural use sites within the watershed. It also  
2 doesn't highlight potential for urban exposure as well  
3 within some of those watersheds. So as such, this  
4 analysis doesn't completely eliminate the potential  
5 for chlorpyrifos exposure or exposure to  
6 chlorpyrifos-oxon transformation product of  
7 chlorpyrifos.

8 Just to quickly mention, chlorpyrifos  
9 is converted to chlorpyrifos-oxon during drinking  
10 water treatment processes, typically chlorination.  
11 However, not under all drinking water conditions is it  
12 converted. But this is an important thing to consider  
13 when trying to evaluate potential exposure to  
14 chlorpyrifos or chlorpyrifos-oxon when considering  
15 finished drinking water. And it becomes important on  
16 my next slide.

17 First, once we knew the watersheds, I  
18 went to other water-monitoring data that we have  
19 available for chlorpyrifos, and I looked at the data  
20 available for the years of the cohort. And you can  
21 see from the figure or the table on this slide that  
22 the exposures would have been relatively low based on  
23 this water monitoring data. And actually, the only  
24 years with measured detection of chlorpyrifos in

1 surface water, and this isn't necessarily -- it's not  
2 drinking water or finished drinking water, is in 2000  
3 and 2001. The other data that you see up there are  
4 one-half the detection limit. So this suggests that  
5 if exposure were to occur to chlorpyrifos, it would  
6 have happened at really low concentrations.

7 I also went to the New York City  
8 Community Water Systems reports for each one of these  
9 years and examined the different samplings that they  
10 did for chlorpyrifos as well as other compounds, and  
11 there was no detection of chlorpyrifos during these  
12 years. But in the process of doing that, I discovered  
13 that New York City relies heavily on -- or only on  
14 chlorination at least during the time period of the  
15 study for disinfection, and in this case, chlorpyrifos  
16 has been observed almost completely to chlorpyrifos-  
17 oxon. And I have a table showing that in the presence  
18 of chlorine, the reduction can be as low as 85  
19 percent. And in some cases, it's much, much higher.  
20 So this suggests that potential exposure to  
21 chlorpyrifos was very low and as such -- or through  
22 drinking water would have been nonexistent and as  
23 such, couldn't have been measured in cord blood and  
24 correlated with the observed effects in the Columbia

1 study.

2 I'm going to turn it over to  
3 Danette Drew who will talk about food exposure.

4 **DR. DANETTE DREW:** Danette Drew, OPP  
5 Health Effects Division. I'm just going to speak very  
6 briefly about the food exposure. So as part of the  
7 2014 Human Health Risk Assessment for chlorpyrifos, a  
8 dietary exposure analysis was performed. This  
9 analysis considered combined exposure from all food  
10 commodities, from crops or livestock with tolerances  
11 for chlorpyrifos. This analysis included chlorpyrifos  
12 as the residue of concern in food. As based on the  
13 results of food monitoring, crop metabolism and other  
14 field studies, the oxon metabolite is not expected to  
15 occur in food.

16 This analysis included the acute and  
17 repeated exposure durations and examined exposures for  
18 females of childbearing age, infants and young  
19 children.

20 Just a little background on the  
21 methods, the methods that were used have undergone  
22 previous multiple peer reviews by the FIFRA SAP, and I  
23 should also mention that these methods have also been  
24 presented and discussed at various forums in order to

1 get input from our stakeholder community.

2 The methods included the following  
3 models: The Dietary Exposure Evaluation Model, or  
4 DEEM, with the Food Commodity Intake Database; and the  
5 Calendex-FCID Model. Both these models incorporated  
6 the 2003-2008 consumption data from USDA's National  
7 Health and Nutrition Examination survey "What We Eat  
8 in America." This consumption information is combined  
9 in the models with the known residues in food in order  
10 to calculate exposure.

11 Okay, so how are we using these food  
12 exposure results today? The food exposure results are  
13 reflective of those which would be expected in the  
14 Columbia cohort. The food exposures have been used  
15 with the PBPK model in order to: 1) Predict  
16 chlorpyrifos blood levels that may occur from food  
17 exposure; and 2) To evaluate the contribution of food  
18 as a source of exposure of chlorpyrifos for women in  
19 the Columbia study.

20 The PBPK model was used to predict the  
21 chlorpyrifos blood concentrations from food for the  
22 following scenario: Females reflecting the NHANES body  
23 weight value of 72.9 kg following a single repeated  
24 daily exposure to chlorpyrifos in food. This was

1 performed using the food exposure results for a range  
2 of percentiles of exposure. The resulting PBPK  
3 predictions for the chlorpyrifos blood levels at 10  
4 hours and 24 hours after the peak exposure through  
5 food are then compared to the blood levels measured in  
6 the Columbia cohort. These times were used to  
7 approximate the period which would be expected between  
8 labor and delivery, specifically between a meal and  
9 delivery when the blood samples would be collected.  
10 We may have more discussion on this case later today.

11 This table shows the comparison of the  
12 PBPK-predicted blood levels from food to the blood  
13 levels measured in the Columbia study after the  
14 cancellation and/or uses at several percentiles of  
15 exposure. So the second and third columns from the  
16 left are the predicted blood levels at 10 hours and 24  
17 hours after the peak exposure from food. And the  
18 blood levels are less than the limited detection under  
19 most circumstances, the predicted levels. And the  
20 fourth column, I think you might have seen this before  
21 as well, that's the measured blood levels after the  
22 indoor use cancellation. These levels are less in the  
23 LOD at the 75<sup>th</sup> percentile of exposure and below.

24 We concluded from these results that



1 while food exposure to chlorpyrifos occurred before  
2 and after the cancellation of and/or use, food  
3 exposure is not contributing to the measured blood  
4 levels in the Columbia cohort across much of the  
5 distribution. That's all I have. We're back to Wade  
6 Britton.

7 **MR. WADE BRITTON:** All right. On  
8 residential exposures now. The analyses of  
9 residential exposures likely in the Columbia cohort  
10 have been conducted based on recommendations from the  
11 2012 SAP. The recommendations identify the  
12 characterization of pre-cancellation exposure to the  
13 women in the cohort as a key uncertainty and using the  
14 epidemiology data for quantitative risk assessment.  
15 And the recommendations state that since the actual  
16 levels of exposure during critical windows of  
17 susceptibility are not known, it's necessary to  
18 establish a range of possible exposures to the women  
19 and whether acetylcholinesterase inhibition would have  
20 been elicited.

21 The agency has conducted two separate  
22 evaluations of the Columbia cohort residential  
23 exposures, the first in 2014, and the more present  
24 2016 analysis. The first, termed The Dose

1 Reconstruction Analysis, was conducted in support of  
2 the 2014 Chlorpyrifos Human Health Risk Assessment.  
3 This analysis evaluated several indoor exposures  
4 likely at the time of the cohort and whether these  
5 exposures could have elicited 10 percent red blood  
6 cell acetylcholinesterase inhibition, the regulatory  
7 endpoint for chlorpyrifos. A second more recent  
8 biomarker analysis was conducted to make use of the  
9 PBPK model under a variety of exposure conditions to  
10 predict chlorpyrifos blood concentration for  
11 comparison to study blood levels.

12 In order to evaluate residential  
13 exposure to women in the Columbia cohort, it was first  
14 necessary to define the types of exposures expected.  
15 In 1997, all chlorpyrifos residential indoor broadcast  
16 and total release aerosol or fogger uses were  
17 voluntarily cancelled. However, the remaining or  
18 existing stock of these formulations were phased out  
19 until applied or depleted.

20 In 2000, the technical registrants  
21 agreed to phase out of nearly all the remaining  
22 residential uses including indoor crack and crevice  
23 and home lawn uses. While these product cancellations  
24 occurred during the time of the Columbia cohort, the

1 phase out implies that exposures could have continued  
2 to occur since these uses could have remained in the  
3 chain of trade and been legally used.

4           Again, chlorpyrifos is one of the  
5 most widely used insecticides during the time of  
6 the Columbia cohort and indoor residential  
7 exposures were likely to have occurred. In  
8 order to better understand residential exposure  
9 to women in the cohort, we made use of all  
10 available usage information from the Columbia  
11 study. In Whyatt et al. (2002) it was reported  
12 that pest control measures were used by 85  
13 percent of the respondents either by treatment  
14 themselves, of by housing superintendent or by  
15 pest control operator or that would be a  
16 professional application. Whyatt et al. (2009)  
17 reported that of those who used pesticides,  
18 these were used once monthly.

19           EPA also reviewed all product and  
20 application types available at the time of the  
21 Columbia cohort. And those reported by the women to  
22 better understand whether these uses may have been  
23 used. Whyatt et al. (2002) the respondents recorded  
24 broadcast and crack and crevice or perimeter treatment

1 by a pest control operator or a professional occurring  
2 39 percent of the time. They reported 26 percent of  
3 the time using an aerosol can spray, and that would be  
4 application by themselves. And 5 percent of the time,  
5 total release fogger or bombs were used, and again,  
6 this would be a user application. Products at the  
7 time in the residential market were registered as both  
8 0.5 percent and 1 percent active ingredient  
9 formulations.

10 The 2014 Dose Reconstruction Analysis  
11 was conducted with use of the 2012 standard operating  
12 procedures for residential pesticide exposure  
13 assessment, hereafter termed the 2012 Residential  
14 SOPs. The 2012 Residential SOPs were subject to SAP  
15 review in 2009. For this analysis, any available  
16 chlorpyrifos specific exposure data were considered  
17 for use in conjunction with approaches outlined by the  
18 SOPs. Adult handler and children's post-application  
19 exposures were assessed, where a handler exposure  
20 would be the application of the product indoors by the  
21 women in the Columbia cohort themselves, and post-  
22 application exposures would be exposures following  
23 that application to adults on a treated surface, in  
24 this case, treated flooring.

1                   The following SOP inputs were used to  
2                   assess residential exposures. We used the maximum or  
3                   the 1 percent active ingredient formulation available  
4                   at the time. We assumed an exposure duration of 8  
5                   hours, and this would be 8-hours' activity on a  
6                   treated carpeted floor daily and 2 hours on a treated  
7                   hard floor daily. And as for the amount handled or  
8                   the amount applied by the women in the cohort, we  
9                   assumed one entire spray can for broadcast treatment  
10                  or treatment of the entire flooring surface by the  
11                  women in the cohort or a half a can for crack and  
12                  crevice application.

13                  Available exposure data includes  
14                  registrant-submitted studies and data from the open  
15                  literature. And although a number of studies, these  
16                  are primarily related to indoor crack and crevice and  
17                  broadcast treatments, which were the most prevalent  
18                  uses at the time.

19                  As previously described, the PBPK model  
20                  was used to estimate red blood cell  
21                  acetylcholinesterase inhibition from these estimated  
22                  exposures. For adults, both dermal and inhalation  
23                  exposures were assessed for handler and post-  
24                  application activities, and for children, post-

1 application dermal, incidental oral, and inhalation  
2 exposures were assessed. For the PBPK modeling, we  
3 used specific inputs and the intention here to be a  
4 screening level assessment. So when you look at these  
5 inputs, that is the intent there. We assumed that the  
6 women spent 24 hours a day in their residences and  
7 were exposed through that exposure period. They did  
8 not leave the residences for 14 days as modeled, and  
9 there was no adjustment for bathing or showering  
10 during the 14-day period.

11 Based on evaluation of chemical-  
12 specific exposure residue data, a 10 percent daily  
13 residue dissipation input was used. Despite the use  
14 of these screening-level modeling inputs, all  
15 exposures assessed resulted in less than 10 percent  
16 peak red blood cell acetylcholinesterase inhibition  
17 for all the exposures assessed. And I'll note here  
18 that the highest of all the exposure scenarios  
19 resulted in the broadcast in the perimeter treatments  
20 being the highest and that we carried that forward  
21 into our 2016 analysis.

22 The 2016 biomarker analysis is  
23 conceptually similar to the 2014 analysis where both  
24 used the 2012 residential SOPs, and any available

1 chemical-specific exposure data was considered.  
2 However, whereas the 2014 residential analysis used  
3 the PBPK model to evaluate whether 10 percent red  
4 blood cell acetylcholinesterase inhibition could have  
5 occurred in the cohort, the 2016 analysis uses the  
6 PBPK model to evaluate chlorpyrifos blood  
7 concentration following exposure and compared these to  
8 the Columbia study blood levels. Further, the 2016  
9 analysis focuses only on adult post-application  
10 exposures scenario. And for the 2016 analysis, the  
11 PBPK model was used under a variety of expanded  
12 exposure conditions, and these are specific to the  
13 model input; and I'll discuss these in greater length  
14 in several slides.

15 A total of six post-application  
16 exposure scenarios were developed to establish ranges  
17 of possible exposure to the women in the cohort and  
18 compare predicted chlorpyrifos blood levels reported  
19 by Columbia. The two post-application exposure  
20 scenarios shown here, these were conducted in order to  
21 mimic the highest exposure possible indoors.

22 The first scenario there is the  
23 broadcast treatment where the application of a liquid  
24 chlorpyrifos was sprayed by a professional to the

1 entire surface of a hard floor. Consistently in the  
2 2014 and the 2016 assessments, this was the highest of  
3 all exposure scenarios.

4 The second scenario is a perimeter  
5 application of liquid chlorpyrifos by a professional  
6 in a band or strip around a carpeted room. We believe  
7 this based upon the cancellation in 2000 that this was  
8 likely the predominant type during the Columbia study.

9 Four additional post-application  
10 exposure scenarios were assessed using the highest  
11 reported Columbia study chlorpyrifos blood level as an  
12 anchor for analysis, 63 pg/g per day. We use this  
13 blood value as an upper and lower bound for PBPK  
14 modeling. So in this case, we're not assessing  
15 exposures prior; we're using this actual value as a  
16 point in our modeling and back calculating exposure.

17 Two of the four post-application  
18 exposure scenarios simulate what exposure that would  
19 have resulted in a peak 60 pg/g blood level on the  
20 final or the 30<sup>th</sup> day of modeled exposure. This was  
21 repeated assuming exposure to both hard flooring and  
22 to carpet flooring two and eight hours respectively.

23 From this 30<sup>th</sup> day, the 60 pg/g peak  
24 occurring on the 30<sup>th</sup> day, daily exposures were then



1 back calculated to the day of application. Two  
2 additional post-application exposure scenarios  
3 simulate what exposures would have resulted in 60 pg/g  
4 blood level on the first day of modeled exposure of  
5 the day of application. Again, these simulations were  
6 repeated for the hard floor and the carpeted floor,  
7 and in this case, the simulation occurring on the  
8 initial day was then simulated or modeled forward to  
9 the 30<sup>th</sup> day of modeled exposure.

10 As I said previously, some of the 2014  
11 and the 2016 analysis PBPK exposure inputs differ, and  
12 the point being here -- or the purpose of this was to  
13 try to be more realistic in our assessment and our  
14 modeling of the women in the cohort in the 2016  
15 analysis. We assumed that a daily shower occurred to  
16 the women and that they were exposed, as reported,  
17 once monthly, and then the exposure period carried out  
18 for 30 days following that just prior to the next  
19 pesticide application. We assumed that the women were  
20 exposed daily eight and two hours for the activity  
21 period on carpeted and hard flooring respectively.  
22 And in some cases, the inputs for the 2014 and the  
23 2016 analysis were the same, and this that we assessed  
24 daily post-application indoor exposures. We assumed

1 that this was based on a single pesticide application,  
2 and again, based upon the evaluation of available  
3 data, we recommended a 10 percent daily residue  
4 dissipation input.

5 The results of the PBPK modeling of the  
6 six post-application exposure scenarios result in a  
7 consistent trend of exposures over the 30-day model  
8 period. All exposures modeled exhibited a saw-tooth  
9 pattern of daily, rapid increase in chlorpyrifos blood  
10 concentration during the exposure period and a rapid  
11 decline following the exposure period.

12 The rapid daily decline occurs just  
13 following the end of exposure and the showing event,  
14 and this decline continues until hour 24, just prior  
15 to the next day's exposure event. Once the next day's  
16 exposure event begins, the internal dose rapidly  
17 increased once again.

18 Peak internal dose for all exposure  
19 scenarios assessed occurred on the day of application,  
20 the first day, and then daily internal dose declines  
21 step-wise with each subsequent day due to the daily  
22 residue dissipation.

23 And finally, following peak on the  
24 final day of the exposure, the internal dose continues

1 to decline during terminal clearance phase.

2 I relayed these points just prior, and  
3 I will now step through the examples of the  
4 simulations that we conducted and describe how these  
5 trends play out in each post-application scenario.  
6 This slide presents example simulation resulting for  
7 the perimeter and the broadcast post-application  
8 scenarios. Again, these are our highest exposure  
9 scenarios intended to mimic exposures indoors. In  
10 both simulations, the saw-tooth pattern, or the daily  
11 peak blood concentrations during the exposure period  
12 and the decline immediately following exposure, can be  
13 observed. These peak concentrations decline daily in  
14 a step-wise fashion due to the residue dissipation  
15 input, and as you can see following the final day of  
16 modeled exposure, the 30<sup>th</sup> day in both cases, we see  
17 total clearance phase occurring. Please note the  
18 scale and the difference in the blood concentrations  
19 model for the perimeter and the broadcast exposure  
20 scenarios with the broadcast exposure scenario to the  
21 right being modeled at 7200 approximately pg/g on the  
22 initial day; and perimeter application to the carpeted  
23 flooring as scenario model is approximately 1100 on  
24 the initial day.

1                   This slide presents two example  
2                   simulations resulting from the use of the highest  
3                   reported Columbia blood value, the 60 pg/g as the  
4                   bounding estimate. Both examples provided in this  
5                   case are for the two-hour or the hard flooding  
6                   exposure scenario. On the left is the exposure  
7                   scenario model to use the blood level as a bound on  
8                   the initial day of exposure or the day of application.  
9                   And to the right is the exposure scenario modeled to  
10                  use the blood level as a bound on the final day of  
11                  exposure. As you'll note, these simulations exhibit  
12                  the same trends observed for the other modeled  
13                  exposure scenario.

14                  This table summarizes the results of  
15                  all six post-application scenarios modeled. I'm not  
16                  going to step through line-by-line, but I will follow  
17                  this table with slides, which present the results as  
18                  figures. But first, I'll introduce what we're looking  
19                  at here, with the leftmost column being the post-  
20                  application exposure scenarios assessed, and as we  
21                  move to the right, we have the highest peak blood  
22                  concentration. This is the blood concentration  
23                  occurring again on that initial day of exposure, the  
24                  day of application.

1                   The next column over follows with the  
2                   24-hour blood concentration. This is the predicted  
3                   blood measure at hour 24 on the day of application.

4                   Next, we have blood concentration 10  
5                   hours following the peak on the 30<sup>th</sup> or the final day  
6                   of modeled exposure. So we have our peak on the 30<sup>th</sup>  
7                   day, and this would be 10 hours following that point.  
8                   And the subsequent column to the right is the 24-hour  
9                   time point following the peak on the final day of  
10                  modeled exposure.

11                  Presented on this slide are the results  
12                  of chlorpyrifos blood concentration on the first day  
13                  of modeled exposure. Beginning from the leftmost  
14                  figure printed here, is the broadcast or the hard  
15                  floor exposure scenario, and next to that to the right  
16                  is the perimeter carpeted floor exposure scenario.  
17                  These two scenarios here again -- these are our  
18                  highest post-application exposure scenarios, the ones  
19                  that were intended to mimic high-end use indoors.

20                  The next four post-application exposure  
21                  scenarios shown are the exposure scenarios which used  
22                  the 60 pg/g or the highest Columbia blood level as the  
23                  bound, the first two being the bound occurring on the  
24                  30<sup>th</sup> day of exposure, these two, and the final being

1 the day of application where the 60 pg/g was modeled  
2 to occur. I'll make a note here that the 60 pg/g on  
3 day 30, this would be the day of application. So 60  
4 pg/g was modeled on day 30, but this is the back  
5 calculation. This is the point on the day of initial  
6 exposure, the day of application. If this were back  
7 calculated, this would be day 0, the highest value,  
8 and then the residues would decline to this point at  
9 60 pg/g.

10 As you can see the high-end perimeter  
11 exposure scenario results in a modeled peak blood  
12 level very high. I'm sorry. Let me speak again, the  
13 broadcast hard floor exposure scenario is the highest  
14 of all exposure scenarios assessed, resulting in a  
15 level of 7200 on the day of application and then  
16 followed by the perimeter carpeted floor scenario,  
17 1050. But what's important to note here is how  
18 similar those bounding or the modeled exposure  
19 scenarios with the 60 pg/g occurring on the final day  
20 of exposure, these are to the perimeter carpeted  
21 floor. They just so happen to model very similarly.

22 Presented on this slide are the results  
23 of chlorpyrifos blood concentrations at hour 24 on the  
24 first day of exposure of the day of application. So

1 what we saw previously was our peak on the initial  
2 day. What we see here is hour 24 following the rapid  
3 decline just prior to the next day's exposure event.  
4 The perimeter carpet and the 60 pg/g on the final day  
5 and the carpet exposure scenario modeled, again, very  
6 similarly, and the broadcast hard floor exposure  
7 scenario is the highest of all. It is most important  
8 to note, however, that due to the rapid decline of  
9 internal dose from the peak, all of the exposure  
10 scenarios modeled result in blood levels below the 60  
11 pg/g pre-cancellation level. Therefore, these  
12 chlorpyrifos blood levels could have been reached as  
13 soon as the day of product application.

14 Presented on this slide are the  
15 resulting chlorpyrifos blood concentrations for all  
16 six post-application exposure scenarios 10 and 24  
17 hours following the peak on the final day of exposure  
18 or the 30<sup>th</sup> day. So we've had our peak on the 30<sup>th</sup>  
19 days, and these measures are occurring as the rapid  
20 decline is happening, so 10 hours and at 24 hours with  
21 10 hours being shown in blue, and in yellow, the 24-  
22 hour measure. In as little as 10 hours following the  
23 peak exposure on the final day, all post-application  
24 exposure scenarios result in blood levels below the 60

1 pg/g pre-cancellation blood level, and they drop even  
2 lower by hour 24 on this final day.

3 As shown many times before, now here is  
4 the distribution of Columbia blood concentration, but  
5 I'd like to direct your attention specifically on this  
6 slide to the maternal blood, specifically the upper  
7 percentile measures, the 75<sup>th</sup>, the 90<sup>th</sup> and the 95<sup>th</sup>.  
8 These upper percentile blood levels have been used as  
9 the basis for comparison to the modeled blood  
10 concentrations.

11 Shown on this slide are two views of  
12 the perimeter carpet exposure scenario which was  
13 modeled. And this is the most likely treatment type  
14 again for the Columbia cohort, we believe. On the  
15 left is the first day of simulated exposure, and to  
16 the right is the last day of simulated exposure, the  
17 30<sup>th</sup> day. For both simulations, predicted blood  
18 concentrations are in the range of the 90<sup>th</sup> and the  
19 95<sup>th</sup> percentile values within 24 hours of model  
20 exposure. And on the final day of exposure, blood  
21 levels in the range of 75<sup>th</sup> percentile value occurs  
22 shortly thereafter. These levels are achieved even  
23 with the peak value on the first day of exposure to  
24 the left of 1100 pg/g.



1           The figure presented here overlays the  
2 perimeter carpet exposure scenario and the 60 pg/g on  
3 the final day of exposure scenario. The ones that you  
4 saw previously that were very similar and, in fact,  
5 they overlay so closely that it's difficult to see the  
6 distinction between the two. As described, these  
7 exposure scenarios model very similarly, and we  
8 believe the similarity to support that the perimeter  
9 use was likely the predominant treatment type at the  
10 time of the Columbia cohort.

11           In summary, the approaches used by EPA  
12 for estimation of drinking water, food, and  
13 residential exposures have been supported by multiple  
14 SAPs and peer review. These exposure estimates make  
15 use of chemical-specific exposure and monitoring data  
16 where there's data available. Daily chlorpyrifos  
17 blood concentrations were simulated with use of the  
18 robust, highly refined PBPK model, and integration of  
19 all of these approaches has allowed for predictions  
20 related to the women in the Cohort.

21           In conclusion, chlorpyrifos blood  
22 concentrations predicted with the PBPK model are well  
23 within the range of those reported in the Columbia  
24 cohort. For drinking water exposure, the watershed

1 protection plan and water processing virtually  
2 eliminate all chlorpyrifos, and for food exposures,  
3 the resulting concentrations are consistent with the  
4 post-cancellation Columbia blood levels. Therefore,  
5 EPA believes that the higher levels of pre-  
6 cancellation blood concentrations reported by Columbia  
7 were likely the result of residential chlorpyrifos  
8 usage. At this time, we'll take questions. Thank  
9 you.

10 **DR. JAMES MCMANAMAN:** Okay, thank you.  
11 Questions for the agency, panel members?

12 **DR. DAVID JETT:** Dave Jett, NIH. Did  
13 you say that you guys measured or someone measured  
14 chlorpyrifos-oxon, or is that hard to measure? I know  
15 you said that you didn't think it was an issue because  
16 of the chlorination process and mixing, but was it  
17 ever directly measured?

18 **DR. ROCHELLE BOHATY:** Rochelle Bohaty,  
19 EPA. Are you asking if chlorpyrifos-oxon was actually  
20 measured in the drinking water? Yes. There is no  
21 sampling for chlorpyrifos-oxon, and generally the  
22 drinking water treatment processes references to that.  
23 They primarily focus on chlorpyrifos, the dissipation  
24 of it, but there are a few studies that look at the

1 dissipation of chlorpyrifos and the formation of  
2 chlorpyrifos-oxon.

3 **DR. JAMES MCMANAMAN:** Other questions?

4 **MR. JEFF FISHER:** Jeff Fisher, just to  
5 be clear that I understand, all the simulations that  
6 were done was using the female model as a surrogate  
7 for the pregnancy mom for the reasons you mentioned,  
8 and there's no infant modeling or pregnancy modeling  
9 that was completed by you for what you're showing us  
10 by the EPA?

11 **DR. CECILIA TAN:** Cecilia Tan, EPA.  
12 We did not use the pregnancy model for the reason that  
13 I described. It's not peer reviewed or published even  
14 though it is a great model and for the infants we are  
15 focusing on -- because the biomarker is core blood  
16 concentration, which we think is similar to the  
17 maternal blood concentration. So we did not predict  
18 infant exposure and blood level.

19 **DR. ANNA LOWIT:** This is Anna Lowit.  
20 Just to add on to what Cecilia said. So the  
21 simulations you've seen today were intended to try to  
22 understand the reported values from Columbia, so the  
23 focus has been on the females as representative of the  
24 mothers in a cohort. But in the case studies that

1 we'll talk about next as we move to doing a risk  
2 assessment that has to cover multiple life stages, we  
3 do have case studies for infants, for example, a  
4 bottle feeding infant drinking formula that's made  
5 from water that may have chlorpyrifos in it.

6 **DR. WILLIAM HAYTON:** You don't present  
7 them, but you must have brain concentration time data  
8 too. Does that track very closely to the blood  
9 concentration or the plasma concentration?

10 **DR. CECILIA TAN:** Cecilia Tan, EPA.  
11 The model is capable of predicting brain  
12 concentration, but we did not record it or compare  
13 that to blood concentration.

14 **DR. WILLIAM HAYTON:** Right, but you  
15 don't just have anything to say about whether there's  
16 a big lag between the peak in brain and the peak in  
17 blood or tracking. I mean, are they temporarily  
18 displaced; are the peaks similar or not?

19 **DR. CECILIA TAN:** I would say there  
20 shouldn't be a lag, but I can run the model now and  
21 then give you that answer.

22 **DR. WILLIAM HAYTON:** Thank you.

23 **DR. ANNA LOWIT:** The power of  
24 computers, we can do it right here. This is Anna

1 Lowit. We have not output -- our focus has been on  
2 the blood to match to the Columbia, so we have not --  
3 none of the simulations you have, have tracked that.  
4 But there's a long history of evaluating the red blood  
5 cell inhibition cholinesterase to brain, and brain  
6 cholinesterase inhibition tends to be much less  
7 sensitive than the RBC does, suggesting a difference  
8 in tissue dosimetry, but we'll find out soon.

9 **DR. JAMES MCMANAMAN:** Marion had her  
10 hand up first.

11 **DR. MARION EHRICH:** Marion Ehrich. I'm  
12 looking at slide 88, and the concentrations there  
13 (inaudible) the levels of detection you'd think it  
14 would be lower at the later measurements because there  
15 are better equipment. 1997 is very, very low, and  
16 2004 is 100 times higher.

17 **DR. ROCHELLE BOHATY:** Rochelle Bohaty,  
18 EPA. Yeah, we noticed that too. We went back to the  
19 source data to confirm the limits of detection that  
20 were reported, and that was what's available in the  
21 data. My thought is that they used different labs,  
22 different equipment, different labs.

23 **DR. DAVID JETT:** Dave Jett, NIH. The  
24 table on food exposure results, just some

1 clarification, these data were compared to the 2001  
2 data. Why were they not compared to some of the other  
3 years before 2001?

4 **DR. ANNA LOWIT:** This is Anna Lowit  
5 again. That's a relatively easy comparison to do. We  
6 wanted to keep the slides not too busy for purposes of  
7 presentation. But keep in mind, or back to the issue  
8 of the pre-cancellation and the post-cancellation, so  
9 the pre-cancellation values are primarily above the  
10 LOD, and then the upper percentiles are above 10,  
11 reaching above 15. So we're matching here our  
12 predictions from food to the post-cancellation as we  
13 think about the experience the women in the cohort  
14 would have. So if you think about the women whose  
15 babies were born post-2000, they're primary exposure  
16 to chlorpyrifos would have been in food, not from  
17 residential and not from water. So what we're trying  
18 to show here is our predictions from our typical  
19 modeling approaches, the dietary exposure, pretty well  
20 matched the reported values from Columbia, post-  
21 cancellation. So the 2001 here is shown as a post-  
22 cancellation metric. So as we move from the lower  
23 percentiles upwards, there's a pretty good match of  
24 expected values below the LOD until we get to the

1 higher percentiles.

2 **DR. JAMES MCMANAMAN:** Dr. Carr.

3 **DR. RUSSELL CARR:** Could I get slide  
4 110 please? It's the Residue 2016 Analysis PBPK  
5 Model. One more, there. All right, that was it. I'm  
6 sorry. Go back. Go back one. The first column is  
7 the highest peak right after exposure, correct? And  
8 the second column is 24 hours later.

9 **MR. WAYNE BRITTON:** This is Wayne  
10 Britton. That is correct.

11 **DR. RUSSELL CARR:** All right. Then the  
12 third column is 30 days later?

13 **MR. WAYNE BRITTON:** Yes.

14 **DR. RUSSELL CARR:** But the half --  
15 that's what we call terminal half-life. The terminal  
16 half-life still is like 120 hours, and so every five  
17 days, that number between that second column and that  
18 third column should decrease by half. And there's  
19 like 5 -- 30 days is 106 times -- you should get some  
20 decrease between those two points just based on what I  
21 read in the document if the terminal half-life is 120  
22 hours.

23 **DR. ANNA LOWIT:** This is Anna Lowit  
24 again. I'll try, and others can help me out. So if

1 we can go -- is it forward or backward? Show one of  
2 those spikey things. Here we go. So look on the  
3 right side. The first column in the table you're  
4 talking about represents that first peak of right  
5 above, the 0 day one, right? The first 24 hours is  
6 that initial first drop to the bottom. The next  
7 column over represents the other end, the far right  
8 side. So the first one is the first day; the other  
9 column is the 30<sup>th</sup> day. So go back to the table.  
10 Okay, so the second column is the 24 hours, so that's  
11 the 24-hour post-application. The next column, if you  
12 look at it closely, after the peak on day 30. So  
13 every day, there's a peak and a drop.

14 **DR. RUSSELL CARR:** Okay, so terminal  
15 half-life is basically the same; it's not dependent on  
16 the initial level of exposure. So you'll always go  
17 back to the same value regardless of the level of  
18 exposure.

19 **DR. CECILIA TAN:** Cecilia Tan, EPA.  
20 Regardless of the dose level, you always have the same  
21 half-life, which is 120 hours, the terminal half-life,  
22 but the level will be different depending on the peak.

23 **DR. RUSSELL CARR:** That's what I  
24 thought. I just weighed two numbers were the same, or



1 else they increased.

2 **DR. CECILIA TAN:** Were so similar,  
3 yes. I see what you're saying, okay.

4 **DR. RUSSELL CARR:** All right, thank  
5 you.

6 **DR. LISA SWEENEY:** Lisa Sweeney. The  
7 way I read those columns, the 24-hour blood  
8 concentration is 24 hours after application, and in  
9 the case of broadcast, that's 22 hours after peak.  
10 And in case of perimeter, it would be 16 hours after  
11 peak. Whereas the last column, it's 24 hours after  
12 the peak, so they're not exactly comparable. In one  
13 case, it's 24 hours after application, and you have to  
14 include application time; whereas the last column,  
15 it's 24 hours after the peak.

16 **DR. RUSSELL CARR:** Yeah, the last  
17 column is 24 hours after day 30. I assume that was  
18 when they went to a clean environment.

19 **DR. LISA SWEENEY:** Right.

20 **DR. RUSSELL CARR:** But I was talking  
21 between two and three.

22 **DR. WILLIAM POPENDORF:** This is Will  
23 Popendorf. If you look at table three in the issues  
24 paper, there's a column missing in this table, which

1 is the lowest peak, which is the peak on day 30, and  
2 maybe that's where you're sort of missing. Because  
3 at 10 percent per day, you're talking the half-life  
4 for the DK of the residue. So that's how fast the  
5 peaks go down, which is a lot different from the  
6 terminal phase within the body. So there's two  
7 things going on. Part of it's missing here, which  
8 may be just a little confusing to me.

9 **DR. JAMES MCMANAMAN:** Dr. Carr, are you  
10 clear?

11 **DR. RUSSELL CARR:** Yes.

12 **DR. JAMES MCMANAMAN:** Okay. Other  
13 questions? Yes.

14 **DR. WILLIAM POPENDORF:** Will Popendorf  
15 again. Two questions, follow up a little on the  
16 background. I still have this interest in oxon we'll  
17 talk about later, but wondered about on the water  
18 side, is there any information on the use of bottled  
19 water within the cohort as an alternative to city  
20 water if oxon were in the city water?

21 **DR. ROCHELLE BOHATY:** We didn't look  
22 into the exposure potential from bottled water.

23 **DR. WILLIAM POPENDORF:** Right, okay.  
24 So as far as you know, you don't have information at

1 least about bottled water use, right?

2 **DR. ROCHELLE BOHATY:** No, there's no  
3 information available on that.

4 **DR. WILLIAM POPENDORF:** The other  
5 question, if you go to your slide 115, which is a  
6 little further on, I sort of saw this in a few other.  
7 Earlier I think you said the cycle time on the  
8 simulation, your PBPK simulation, was like an hour and  
9 the peak or the curve there looks pretty fine for --  
10 it would be 10 points that make up that peak and part  
11 of it going down. I wonder is that -- you're pretty  
12 sure about the one-hour cycle time, or is that a  
13 variable that might be --

14 **DR. CECILIA TAN:** The one-hour time,  
15 what I meant is that that's the time unit for this  
16 model, but it is the DE server. The differential  
17 equation server is a stiff system, so it's much  
18 smaller time points when they calculate the equation  
19 when it is changing a lot. But when it is not  
20 changing a lot, the time set can be longer, so it is  
21 not every hour it runs; but it depends on how fast  
22 it's changing. When it's faster, it runs more time  
23 points, so that's why you can -- you see that peak  
24 looks more refined.

1 DR. WILLIAM POPENDORF: Okay great,  
2 thank you.

3 DR. JAMES MCMANAMAN: Questions? Yes,  
4 Dr. Fisher.

5 DR. JEFF FISHER: Bill, in Axel, this  
6 software, there's a communication interval. You can  
7 make it very small and click simulated digitized data  
8 points per communication. So you can have massive  
9 data sets of simulated data to such an extent that you  
10 can run out of memory during the simulation, but these  
11 simulations are very smooth. They have enough resolve  
12 to show the shape of the expected behavior.

13 DR. JAMES MCMANAMAN: Questions for the  
14 panel? Okay, so before the next presentation, I think  
15 we'll take a break. The next one's by Dr. Lowit, and  
16 we'll have the answers to questions that we addressed  
17 by the end of the day or by tomorrow. So 15 minutes,  
18 all right, thank you.

19 (Brief recess.)

20 DR. JAMES MCMANAMAN: Okay. I think  
21 we'll get started. So at last we have an answer to  
22 our analysis question, so we'll start with that.  
23 That's was supposed to be funny, but I guess not.  
24 Anna Lowit is going to read into the record the

1 response from principal investigators related to the  
2 question about analysis levels.

3 **DR. ANNA LOWIT:** Okay. These will end  
4 up in the docket as well. I'll make sure that we put  
5 the email records back and forth from Dr. Barr and  
6 myself just, for full transparency on what transpired.  
7 So there's quite a bit and so I'm just going to read  
8 it verbatim.

9 The first are those questions I believe  
10 from Dr. Ehrich, although I'm not certain. So the  
11 notes read from the panel, Barr et al. (2002), give  
12 limits of detection for chlorpyrifos at 1 pg/g (part  
13 per trillion) with 0.5 to 1.0 pg/g used as a limited  
14 detection in the epidemiology studies and referred to  
15 in a number of subsequent papers.

16 Dr. Barr's response: Limits of  
17 detection (LOD) are not static values but rather  
18 dynamic in the three citations here listed to support  
19 that statement. LODs can change from project-to-  
20 project, run-to-run, or even sample-to-sample based on  
21 a variety of factors, including individual sample  
22 matrix effects, sample volume analysis, instrument  
23 performance, column life, et cetera.  
24 Typically, LODs are reported as an average LOD over

1 the course of a sample batch or study. For these  
2 studies, average LODs were determined for each batch  
3 of data reported. Similarly, an average LOD was  
4 reported in the Barr 2002 paper. The LODs were  
5 determined using the method of Taylor 1987, page 79 to  
6 81, and represent the method LOD not the instrument  
7 LOD.

8 The LODs were verified visually, e.g.,  
9 a spiked serum sample at the concentration was  
10 measured to ensure detectability, and the signal-to-  
11 noise ratio had to be greater than three; although it  
12 was typically greater than 10. The LOD of  
13 chlorpyrifos tended to range from about .5 to 1.0  
14 pg/g.

15 All right. So moving on, the comment  
16 from the panel said yet Perez et al. (2010) gives  
17 chlorpyrifos limit of detection as 21 pg/mL, i.e.,  
18 part per trillion, with a linear range in  $\mu\text{g/mL}$  ppb of  
19 21 to 6400.

20 So the response from Dr. Barr: This  
21 method was developed primarily to measure pyrethroid  
22 insecticides, so the parameters, e.g., extraction mass  
23 spectral parameters, were set to optimize  
24 detectability of pyrethroid, not chlorpyrifos. As

1 with any multi-analyte method, it cannot be optimized  
2 for each individual chemical. We specifically chose  
3 to focus on the pyrethroids because with their  
4 increase in use after the voluntary elimination of  
5 chlorpyrifos in diazinon registrations. Therefore,  
6 this method was not optimized to detect chlorpyrifos.  
7 This method was not used for generating chlorpyrifos  
8 data for the Columbia study.

9           Okay, so same set of comments from the  
10 panel. There are a couple of questions. Question  
11 number 1, how can quantitation be done outside the  
12 linear range? Response from Dr. Barr: The upper cap  
13 of the linear range defined in the method was simply  
14 the highest standard used as a method possessed  
15 linearity at all concentrations used in a calibration  
16 plot. If concentrations were calculated outside the  
17 range of the highest standard, one of three scenarios  
18 was used for reporting data in priority order.

19           Number 1: If residual sample remained,  
20 the sample was re-prepared and reanalyzed using a  
21 smaller volume of serum to bring the concentration  
22 within the calibration range.

23           Number 2: Additional higher level  
24 standards were evaluated to ensure linearity at or

1 above the quantified value.

2                   Number 3: The value was reported but  
3 was flagged as being above the highest standard, the  
4 subject to more error. In the study, the third  
5 scenario listed was never encountered.

6                   Question number 2 from the panel: What  
7 was the signal-to-noise ratio at the limit of  
8 detection? The SN was a minimum of three but was  
9 usually in excess of 10. Although some define the LOD  
10 as the point at which the SN is three, we did not  
11 define it; this way, it was used as a quality  
12 assessment criteria and always had to exceed three.

13                   Question number 3 was the one that we  
14 largely covered in the room about the table 1, the  
15 issues of the reporting on page 14. However, in  
16 addition to the explanation that we gave earlier about  
17 the difficulty in seeing the decimal point in front of  
18 the .25, Dr. Barr adds to our response: Values in the  
19 table range from .25 to 12 pg/g. Note that the two  
20 trailing zeros should not be construed as significant  
21 digits as we only reported out to 0.00 significant  
22 digits.

23                   Okay, the second set of questions,  
24 there are three of those. Question 1 in the second



1 set, were there instrumentation differences between  
2 1998 and 1999 and later analytical measures? The  
3 answer from Dr. Barr: No, the same instrument was  
4 used for all analyses. Obviously as the instrument  
5 aged, its performance diminished somewhat, but the  
6 LODs remain relatively steady.

7 Question number 2, cross validation of  
8 early samples with later methods in instrumentation.  
9 Dr. Barr's response, all methods were cross validated  
10 against each other for common analytes. The  
11 chlorpyrifos measured in the Perez 2010 method were  
12 cross validated against the Barr 2002 method. To be  
13 considered cross validated, the measured value using  
14 the Perez 2010 method was within 20 percent of the  
15 measured value of the Barr 2002 method. The  
16 measurements were highly correlated, are greater than  
17 .97, and the absolute standard deviation from the mean  
18 measure value was less than standard deviations.  
19 Bland-Altman plot demonstrated no systematic bias  
20 between the methods; however, better agreement was  
21 observed at higher concentrations. In addition, as  
22 part of the CLIA certification requirements, all  
23 analysts were cross validated as well such that  
24 analyst A was compared to analyst B, and their

1 measurement disagreement was less than 10 percent.

2 The same instrument was used for both methods.

3 Question 3 chain of custody, standard  
4 operating procedures, SOPs, for collection of maternal  
5 and umbilical cord blood were provided to Columbia  
6 University by the CDC Sample Logistic Group (SLG) as  
7 per CDC's requirement. The SLG is a part of the CDC  
8 NCEH DLS Laboratory that is comprised of chemists and  
9 medical technologists with experience in sample  
10 collection and handling. The detailed SOPs also  
11 included flow chart diagrams for collection in post-  
12 collection aliquoting, post-allocation handling  
13 procedures such as, processing of plasma; aliquoting  
14 of samples of plasma; creation of a shipping manifest;  
15 storage; and dry ice shipment instructions. Samples  
16 were shipped in batches, typically 50 to 100 samples.  
17 They were stored at Columbia University at minus 80  
18 degrees Celsius until shipment. Upon arrival at CDC,  
19 the SLG inventoried the samples; created CDC CISPIR  
20 identification codes for each sample (required of each  
21 independent sample received at CDC for sample tracking  
22 purposes); assigned a study number, if the first batch  
23 from the study; and transferred samples to the  
24 appropriate laboratory, in this case, the pesticide

1 laboratory. After analysis, samples were returned to  
2 the SLG who either disposed of the samples at the PI's  
3 request or shipped them back to the PI. Tubes with no  
4 residual samples were autoclaved and discarded as  
5 hazardous waste.

6 And then there are several citations  
7 here supporting the comments. There are six citations  
8 here.

9 **DR. JAMES MCMANAMAN:** Thank you. Are  
10 there clarification questions that need to be asked at  
11 this point? Or would the time be better spent  
12 entering the deliberations following the agency's  
13 presentation? Okay, hearing no additional questions  
14 are needed, we'll go on to the next presentation.

15 **DR. ANNA LOWIT:** Dr. McManaman, there's  
16 a natural break about halfway in the slides. I can  
17 just go all the way through, or I can take the natural  
18 break and take questions. Or would you like me to  
19 just go?

20 **DR. JAMES MCMANAMAN:** Why don't you  
21 just go?

22 **DR. ANNA LOWIT:** Just go, okay.

23 **DR. JAMES MCMANAMAN:** We took the break  
24 early.

1                   **DR. ANNA LOWIT:** I'm just asking.  
2                   Okay. So I'm going to cover a number of issues. So  
3                   what we've heard about today, as you heard from Dana  
4                   Vogel this morning, we've been looking into these  
5                   chlorpyrifos issues since around 2007, 2008 with  
6                   multiple trips for SAP including two focused on  
7                   epidemiology and another focused on the PBPB model.  
8                   So then you've heard throughout the day about -- as we  
9                   are honest about our uncertainties with respect to the  
10                  biomonitoring data and the things that we've done in  
11                  our simulations that we think help to address many of  
12                  those uncertainties. So what I'm going to do for the  
13                  next bit is go through a series of slides that talk  
14                  about how we would, in practice, use the information  
15                  from the Columbia studies to put into a risk  
16                  assessment, so deriving a point of departure, looking  
17                  at intra-species extrapolation, the FQPA 10X safety  
18                  factor, and then show a few case studies of how we  
19                  would put this into practice.

20                  The first half of my presentation will  
21                  be on the point of departure, the intra-species  
22                  extrapolation and the FQPA 10X. The second half will  
23                  be on the case studies looking at contemporary current  
24                  exposures of food, water, and also occupational

1 exposure.

2                   So since you've seen that slide several  
3 times today, just to remind all of you, at the 2012  
4 SAP, at that point in time, the PBPK model was not at  
5 the point where the agency was comfortable using it in  
6 a regulatory decision making process. And SAP  
7 concurred with us that, in that state of science, that  
8 we were better off to maintain the point of departure  
9 with acetylcholinesterase inhibition. However, the  
10 panel encouraged us, once the PBPK model was mature  
11 for our use, that we use it to characterize the dose-  
12 response data from the Columbia studies, which we've  
13 largely talked about over the last couple of hours.  
14 So the analysis that you've seen today is largely in  
15 response to the 2012 SAP.

16                   I'm going to take just a couple minutes  
17 and talk about some risk assessment principles and  
18 jargon, just to make sure we're all on the same page  
19 about the risk assessment side of things. So the plot  
20 there on the right side is actually taken from an EPA  
21 guidance document called the Data-Derived  
22 Extrapolation Factor Guidance. And what you see here,  
23 if you can look where the green arrow is toward the  
24 center that's on a round dot that sits on a solid

1 black line, over to the right is a dark dot on a  
2 dotted line. In the overwhelming majority of risk  
3 assessments done at EPA including the Pesticide Office  
4 we use animal data to derive our points of departure.  
5 And when the animal data is used we do like a two-step  
6 extrapolation.

7           The first one is extrapolation from  
8 animals to humans, and then the second extrapolation  
9 is across human population. So those are the  
10 delineations of those two arrows, the black arrows  
11 with the UF. Going from right to left would be UFA,  
12 so extrapolation animal to human and the other one is  
13 within human variability. However, in the case of  
14 chlorpyrifos for our proposal for the new assessment,  
15 we would be using the human data from the  
16 biomonitoring, directly which would avoid the animal  
17 extrapolation step. So hence my green arrow on that  
18 open dot on the dark line, the dark line being the  
19 human dose-response. What the agency typically does  
20 from a point of departure is do an additional  
21 extrapolation step from that human point of departure  
22 to account for human variability to account for  
23 susceptible populations that may not have been  
24 included in the study.

1                   So how would we use this information in  
2 practice? The pesticide program, we tend to use two  
3 different metrics. The first one is a typical RfD  
4 approach which many other offices use which takes the  
5 point of departure and divides it by the uncertainty  
6 factors to achieve a reference dose. Alternatively,  
7 in our occupational exposure assessments, and also  
8 residential exposure assessments where we have to  
9 combine different routes whether it's oral, dermal or  
10 inhalation, we do what's called a margin of exposure  
11 approach, or what we call an MOE. In that case, the  
12 math uses those exact same numbers. We just use the  
13 ratio a little bit differently. So the point of  
14 departure is compared to the exposure, and then that  
15 in turn, is compared against the total uncertainty  
16 factors. So basically, use the same three pieces of  
17 information, but just two different ratios.

18                   From the 2014 Risk Assessment, as  
19 discussed earlier, the points of departure were based  
20 on 10 percent acetylcholinesterase inhibition. In  
21 that assessment from 2014, we used the PBPK model to  
22 actually predict a human derived point of departure to  
23 achieve 10 percent cholinesterase inhibition, and that  
24 was done in a very sophisticated way. We did that

1 very explicitly across each age group, across each  
2 duration, and across each route. So for example, for  
3 a dermal exposure to a female worker to achieve 10  
4 percent cholinesterase inhibition, we used the same  
5 kind of assumptions used in our occupational  
6 assessment where an individual that works eight hours  
7 a day, five days a week and we did that for a three-  
8 week simulation. So just, for example, to achieve 10  
9 percent cholinesterase, the blood concentration peaked  
10 over 120,000 pg/g, and even 32 days after the  
11 exposure, it still exceeds 100. So keep in mind the  
12 reference of that compared to the values we were  
13 talking about in the previous presentation, all  
14 certainly in the order of 10 and lower, and six and  
15 lower.

16 Food exposure, for example, for an  
17 adult female exposed once a day to achieve 10 percent  
18 cholinesterase inhibition, you get approximately 7,000  
19 pg/g at the daily peaks, and across the 21-day  
20 exposure simulation, the values never go below 100.  
21 Just for comparison, there's a recent publication by  
22 Arnold et al. supported by Dow that comes up with very  
23 similar values. They looked at the full distribution,  
24 so from the 5<sup>th</sup> to the 95<sup>th</sup> percentile, their values



1 for 10 percent oral exposure ranged from 6,000 upwards  
2 to 64,000. So our estimates are in line with theirs.

3 So once we transitioned from using  
4 acetylcholinesterase inhibition to neurodevelopmental  
5 effects, we feel there are two fundamental options.  
6 The first one is the Rauh et al. (2006) paper that we  
7 discussed quite a bit this morning that uses that  
8 dichotomous greater than or less than the 6.17 pg/g  
9 cord blood from that paper. In that paper, there are  
10 over 250 children followed at age three using that  
11 dichotomous approach with the high and the low. And  
12 there are a number of findings in that paper that we  
13 discussed at length this morning, including the PDI,  
14 the psychomotor delay, along with a number of other  
15 things including attention disorders, ADHD and the  
16 PDD. And of note, particularly for these three  
17 outcomes, the odds ratios are fairly large, ranging  
18 from 11 and 6 and 5, albeit the confidence bounds are  
19 also quite large.

20 If we were to follow option 1 for the  
21 point of departure, our PoD would be the 6.17 pg/g  
22 cord blood. Option 2, and the one that we're  
23 proposing, is to derive benchmark dose estimates from  
24 linear regression reported by the Rauh et al. (2011)

1 paper looking at Working Memory. If you remember from  
2 Beth's presentation this morning, Working Memory in  
3 particular has been observed in all three of the U.S.  
4 cohorts associated with OP exposure, so there's a  
5 strength in the combined from all three cohorts for  
6 this outcome.

7 In the Rauh paper again, there's over  
8 250 children, and at this point, the children have  
9 reached age 7. And those in this study had a complete  
10 set of data. Rauh reported a linear regression that's  
11 largely there, and in their abstract, talked about for  
12 each standard deviation and increase in chlorpyrifos  
13 exposure, there is a 1.4 reduction in Full-Scale IQ  
14 and a 2.8 reduction in Working Memory.

15 To my left is James Nguyen, who has  
16 taken these results from Rauh and calculated benchmark  
17 dose levels and also lower limits on those benchmark  
18 dose levels, the one-sided lower limits. We did this  
19 analysis in combination with Woody Setzer, who is a  
20 statistician who works for the Office of Research and  
21 Development in the Cox Tox Center and has for many  
22 years supported our program in benchmark dose-response  
23 modeling.

24 The table on the right is directly out

1 of the paper. These are the estimated concentrations  
2 in different reductions in Working Memory and then the  
3 lower limit, the one-sided lower limit, to those  
4 values. As a matter of science policy, EPA uses the  
5 lower limit as a point of departure and not the  
6 central estimate. So we're talking about using the  
7 values on the far right column as the possible points  
8 of departure. We ran the values for the 1 percent  
9 ranging up to 5 percent reduction Working Memory, the  
10 values are listed there. And as we look at the values  
11 at the 1 percent change, we see that the BMDL value of  
12 basically 1, just above 1, is very close if not equal  
13 to the reported LODs from the analytical lab. In our  
14 mind, that gives them more uncertainty as they  
15 approach or even equal to the LOD.

16 At the higher percent that we tried,  
17 from the 3 percent to the 5 percent, the BMDs and the  
18 BMDLs begin to approach, if not exceed, the 6.17 value  
19 that represents that top tier tile from the original  
20 paper and to us suggest that the 3 to 5 percent  
21 changes in Working Memory would not provide a health  
22 protective endpoint. So as a middle ground, it leaves  
23 us with the 2 percent Working Memory reduction that  
24 corresponds to an internal dose of 2.16 pg/g such that

1 the values are within the detectible levels of the  
2 analytical method but also below those that are  
3 associated with neurodevelopmental outcome.

4 Can someone advance the slide for me?  
5 Laura or Steve, can you advance the slide for me? I  
6 tried turning it off and on a couple times; that's not  
7 helping. And the little light's off too, so, here we  
8 go, magic.

9 Okay, so I'll move on from the point of  
10 departure evaluation to the intra-species  
11 extrapolation. Just to repeat where we are, so in a  
12 typical assessment, we would use animal data, but in  
13 this assessment, we're proposing to use the human  
14 biomonitoring, in which case we just need to think  
15 about the intra-species uncertainty factor. And this  
16 is intended to account for variations in  
17 susceptibility across the human population and the  
18 potential that the database is not representative of  
19 the dose-response relationship in groups outside of  
20 those assessed. And the agency typically often looks  
21 at pharmacodynamics and pharmacokinetics in separate  
22 evaluation.

23 There's this same graph I talked you  
24 through a minute ago with the open dot towards the

1 bottom, which represents the point of departure for  
2 humans, and then we're talking about this  
3 extrapolation where the green arrow is pointing.

4 From the pharmacodynamics side,  
5 something we haven't talked about really much of any  
6 today is the adverse outcome pathways and what is or  
7 isn't known about the pathways for neurodevelopmental  
8 outcomes. I'm very lucky to sit near Ginger Moser who  
9 sits at the table with us, who's been a long-time,  
10 almost a decade if not more, member of our OPP  
11 Chlorpyrifos team. For the 2014 risk assessment,  
12 Ginger developed systematic review of available  
13 literature on chlorpyrifos with respect to studies in  
14 laboratory animals exposed to chlorpyrifos either  
15 during gestation of early postnatal, and then those  
16 animals are evaluated later in life.

17 What we also haven't talked about much  
18 today is all the mechanistic information that  
19 underlies the biological plausibility of the outcomes  
20 reported by the epi studies. There are multiple  
21 hypotheses out there including some of you at the  
22 table have been working on these for many years.  
23 There are multiple hypotheses that groups have and of  
24 today in our view, there is not one developed adverse

1 outcome pathway for neurodevelopmental effects, and it  
2 seems likely that that's years away; that kind of  
3 knowledge is probably years away.

4 The Columbia cohort, as Beth talked  
5 about earlier self-identified as primarily African-  
6 American and Dominican, fairly young 18-35, who live  
7 in specific neighborhoods in New York, Northern  
8 Manhattan, Central Harlem or Western Heights/Inwood,  
9 South Bronx, have lived there for a year or more  
10 before they got pregnant, they're generally low SES  
11 and generally at the time had incomes less than  
12 \$30,000. We do not have information that allows us to  
13 assess the relative sensitivity of the women in that  
14 cohort with other groups, whether it's ethnic groups  
15 or socioeconomic groups across the country. We cannot  
16 compare their sensitivity to anyone else. So given  
17 this state of knowledge around the adverse outcome  
18 pathways or the lack of a defined set of key events  
19 leading to an outcome and lack of our understanding of  
20 ability to understand how the Columbia cohort compares  
21 to other groups, the default 3X for pharmacodynamics  
22 for intra-species extrapolation has to be retained.

23 With respect to pharmacokinetics for  
24 within human variability, there is a robust PBPK model

1 that we're taking advantage of. Once piece that we  
2 haven't talked about today is the ability of that  
3 model to look at variation across the population.  
4 That model is able to look at variations in  
5 pharmacokinetics across most life stages but cannot do  
6 so for pregnant women and because it cannot do that  
7 for pregnant women we believe we have to retain the PK  
8 variation as 3X which at EPA 3 times 3 is 10 so we're  
9 proposing to retain the 10X intra-species  
10 extrapolation factor. And it is worth noting that  
11 this decision logic is very consistent with that used  
12 for methyl mercury by the Office of Water and reviewed  
13 by the NRC. In one of our appendices to the document.  
14 we actually did a side-by-side evaluation of the NRC's  
15 review of the uncertainties in the methyl mercury  
16 epidemiology studies and use of cord blood and points  
17 of departure, and our evaluation is very much in line  
18 with what the NRC and our Office of Water colleagues  
19 and our IRIS colleagues for methyl mercury.

20 One of the unique aspects of regulating  
21 pesticides here in the U.S. is a mandate under the  
22 Food Quality Protection Act to add an additional 10X  
23 safety factor to protect for infants and children.

24 And I have the exact wording out of the statute here

1 because the wording is important. So if I start about  
2 halfway through the quote, "take into account  
3 potential pre- and postnatal toxicity and completeness  
4 of data with respect to exposure and toxicity to  
5 infants and children." And we infer that fetuses are  
6 included within that. So what I'm going to do, and  
7 what we did in the paper, is explicitly walk through  
8 what we know about pre- and postnatal toxicity and  
9 think about the completeness of the data that we have.

10 The statute goes further to say that  
11 the Administrator or their delegate may use a  
12 different margin of safety only if based on reliable  
13 data that such margin is safe. As stated in the  
14 paper, and I'll say again in few minutes, our belief  
15 is that at this moment in time, we have to continue to  
16 retain the FQPA factor. I do want to let you know  
17 that the FQPA Safety Factor is a policy decision made  
18 by the agency that has multiple components. And  
19 although we're not asking you about the factor and the  
20 magnitude of the factor, we are in question 6b and 6c  
21 asking you to input on the science we have underlying  
22 that policy choice.

23 I'll systematically, very quickly walk  
24 through what we know about pre- and postnatal



1 toxicity. Starting with laboratory animals, and we  
2 haven't talked about laboratory animals much today,  
3 but in the 2008 and 2012 meetings, we went through  
4 these data extensively. And Ginger Moser has done a  
5 systematic review for our 2014 risk assessment, and,  
6 in fact, she's actually updated that review in our  
7 2015 paper that Beth talked about at length this  
8 morning that expands our analysis of neurodevelopment  
9 effects from just chlorpyrifos to all of the OPs. So  
10 our evaluation on these issues we believe to be quite  
11 up to date.

12 There are numerous animal studies in  
13 rats and mice in the literature that report changes in  
14 aspects of neurobehavioral function from adolescence  
15 to adulthood following developmental exposure. And  
16 these include multiple domains, cognitive, anxiety and  
17 emotion, social interactions, neuromotor function.  
18 But there are extensive differences in study design  
19 across the numerous studies which makes direct  
20 comparability across the studies difficult. For  
21 example, there are multiple species; different ages  
22 are tested. Some report findings in males; some  
23 report findings in females. The exposure level is  
24 different; the timing of the dosage but also when the

1 animals are evaluated later in life are different.  
2 The route of exposure in the test method differ quite  
3 a bit along with a number of other things. But among  
4 these studies, they involve both early and late  
5 gestation or early and postnatal dosing. Keep in mind  
6 that the timing of the development of the nervous  
7 system is not directly comparable across rodents and  
8 humans. So, for example, generally, not exact,  
9 generally, early postnatal periods in rodents tend to  
10 correspond to last trimester in human development.  
11 However, on the other side the later postnatal studies  
12 we believe generally correspond more closely to a  
13 human infant. So it's important with the studies to  
14 try to match them as close as possible. And it's our  
15 view that these studies showed no clear evidence of a  
16 single critical period of exposure. There are some  
17 behavior outcomes that are observed across the all the  
18 windows of exposure and for others, there are not.  
19 There are systematic comparisons, although it's  
20 difficult given all the differences in those studies  
21 to really make those systematic comparisons.

22 As Beth talked about at length this  
23 morning, the epidemiology database with respect to  
24 prenatal or gestational exposure is fairly robust.

1 There are numerous investigations across the three  
2 U.S. cohorts looking at biomonitoring taken during  
3 pregnancy and looked at those associations to  
4 neurodevelopmental effects in children later on,  
5 largely up to age 7 and to a lesser degree, up to age  
6 11. And I won't repeat all that data, but just for  
7 example, she talked about things related to autism  
8 spectrum, intelligence decrements, attentional  
9 problems, ADHD, etc. But we do note the results are  
10 not always consistent with the magnitude in the ages  
11 across the studies.

12 With respect to the epidemiology, the  
13 postnatal is not as robust. A smaller number of  
14 studies have assessed postnatal exposure to OPs,  
15 primarily measured as the DAPs. So these are the non-  
16 specific metabolites. But we do acknowledge that  
17 postnatal exposure was assessed in the CHAMACOS  
18 cohort, and the citations are listed there, and three  
19 cross-sectional studies including one from Canada and  
20 one from China.

21 Postnatal exposure to chlorpyrifos were  
22 not assessed in Columbia or the Mt. Sinai, but it's  
23 important to remember that in the Columbia study, as  
24 the indoor cancellation occurred partway through the

1 study, just that fact alone limits the Columbia study  
2 to inform the postnatal question because chlorpyrifos  
3 was removed from the environment as the children were  
4 young.

5 There is a Bouchard et al. study which  
6 looked at U.S. kids' urinary metabolites 8 to 15 and  
7 did show a positive association between attention and  
8 behavior problems and DAPs. But besides that, there  
9 are no other developmental associations found between  
10 the postnatal biomarkers.

11 So in sum, if we think about the  
12 extensive experimental animal database and integrate  
13 that with the information available from the  
14 epidemiology cohorts, it seems that the lack of  
15 postnatal exposure assessment in Columbia and Mt.  
16 Sinai is a source of uncertainty in the epidemiology  
17 database as the animals do not identify a specific  
18 critical period but, in fact, show that the entire  
19 time of neurodevelopment may be susceptible. If I go  
20 back to the quote from the statute, it talks about the  
21 dose-response curve and uncertainties associated with  
22 the dose-response curve.

23 A couple more quotes from the 2012 SAP  
24 who looked extensively at the Columbia studies and

1 provide a very nice list of uncertainties associated  
2 with those studies. Two of the ones that were  
3 identified in 2012 had to do with the sample size.  
4 The first quote being, "Relatively modest sample sizes  
5 limited the statistical power to classify some  
6 meaningful differences as significant." And the  
7 second one being, "Relatively moderate to large  
8 exposure differences are needed to see significant  
9 effects largely due to the modest sample sizes."

10 So in sum, the modest sample size in  
11 the Columbia study makes it difficult to say the dose-  
12 response relationship between chlorpyrifos and  
13 neurodevelopmental effects across the U.S. population  
14 has been fully characterized. This was particularly  
15 true at the lower end of dose-response curve where  
16 many of the values are below the LOD. The magnitude  
17 of the point of departure that we calculated and  
18 proposed a few slides ago may, in fact, be higher or  
19 even lower than that estimated by the Columbia study.

20 So as we think about the science that  
21 underlies the policy's decision for the FQPA 10X,  
22 there are some remaining uncertainties associated with  
23 lack of information on postnatal exposure and  
24 evaluation in the Columbia and the Mt. Sinai and the

1 modest sample sizes that limit the statistical power.

2 So the agency has concluded there is  
3 sufficient uncertainty that prevents the agency from  
4 reducing or removing the factor. But just remember  
5 that that's a policy decision, and we're looking for  
6 your input on the science, not the policy.

7 Just quickly, the information I went  
8 through now covers a whole bunch of charge questions,  
9 subsections 5 and 6. And I think that moves me into  
10 the case studies.

11 **DR. JAMES MCMANAMAN:** We lost our  
12 webcasting abilities, so we will take a short break.  
13 It looks like this is the ideal place to do that so we  
14 can get back online. Five-minute break.

15 (Brief recess.)

16 **DR. JAMES MCMANAMAN:** We lost the  
17 webcast ability because, for some reason, we've gone  
18 longer than eight hours. I can't imagine how that  
19 could have occurred, but it certainly did. Too many  
20 questions, I think. Too much deliberation. I think  
21 we'll get started with the last part of the  
22 presentation.

23 **DR. ANNA LOWIT:** Okay, the last part of  
24 the presentation has to do with the case studies that

1 are in the last section of the issue paper. So as we  
2 move forward with a new assessment in the coming few  
3 months, we'll need to reassess exposure in food, water  
4 and occupational scenarios using the new point of  
5 departure. In order to facilitate that, we have  
6 developed some case studies to illustrate how we would  
7 use the PBPK model to predict internal blood  
8 concentration from exposure to current uses of  
9 chlorpyrifos. Remember that our exposure approaches  
10 have been extensively reviewed by previous SAPs along  
11 with the models and the assumptions that goes into  
12 them.

13 Just to reiterate, we're proposing an  
14 internal concentration point of departure of 2.16  
15 pg/g, which is adjusted by two values, the first one  
16 being the intra-species factor of 10X and the second  
17 one being the FQPA Safety Factor, which yields us to  
18 an internal concentration RfD of .022 pg/g. For  
19 residential, occupational exposure where we use the  
20 margin of exposure risk metric, what that means is our  
21 point of departure is 2.6 and would be using a target  
22 margin of exposure of 100.

23 Just to remind you what Dr. Tan talked  
24 about earlier, the pharmacokinetic profile of

1 chlorpyrifos in blood is characterized by rapid  
2 increases and decreases during the exposure period and  
3 immediately after followed by slower decrease during  
4 the terminal clearance phase. We believe the cord  
5 blood and maternal blood report by Columbia likely  
6 represent data from the lower points of the PK curve  
7 and more likely in the terminal phase. In order to  
8 do, you know, what I would call an apples-to-apples  
9 comparison, we're proposing to compare our exposure  
10 assessment at 10 and 24 hours after the last peak of  
11 each simulation to do as close as we can as an apples  
12 comparison to the Columbia data. Water is a little  
13 bit more complicated as I'll show.

14           So you've seen this slide before. It's  
15 the same one that Cecilia used. This is an example of  
16 one of our simulations. The last peak, so there's a  
17 rapid decrease with a half-life in the order of three  
18 to four hours so it's very quick. And then followed  
19 by a much slower, gradual decrease with a half-life of  
20 about 120 hours. This is another representation of  
21 the same kind of information. We have a peak there at  
22 hour 1098 representing a peak internal concentration  
23 of almost 400. But only four hours later, the  
24 internal concentration has already dropped to a value



1 of roughly 100, and just a few hours later, we're down  
2 to 51. But from hour around 700 upwards to 730, the  
3 values are very stable between 30 and 50.

4 And so this is all in the context of an  
5 exposure scenario of a woman going into labor. Keep  
6 in mind that the assumption here in this plot would be  
7 that she is in her apartment doing high activity, so  
8 on the floor doing activity, and then she decides it's  
9 time to go. She leaves her apartment, and a number of  
10 hours later, her cord blood and her maternal blood are  
11 taken.

12 The Neil paper does show an average of  
13 about six hours, and we thank Dr. Sweeney for pointing  
14 out to us Dr. Hattis's data that we had just gotten --  
15 we had just gotten it yesterday. It hadn't been  
16 through the paper yet, but it looks like the Columbia  
17 cohort the average time reported by Dr. Hattis is also  
18 about six hours between the time of admittance into  
19 the hospital and the time of the cord blood taken,  
20 which is in line with what we had found in the  
21 literature thinking about active phase between at four  
22 and eight hours and the Neil value of about six hours,  
23 so I think all of those match extremely well.

24 Our first set of case studies is the

1 food exposure. This is a different representation of  
2 the same thing that Danette showed earlier in the day.  
3 What I have is a set of percentile of exposure across  
4 the distribution, ranging from the 10<sup>th</sup> to the 99.9  
5 across the distribution. These are adult females. We  
6 have the percentile of the food exposure from our  
7 exposure model at that percentile and then values for  
8 our simulation. So the maximum blood level, the 10  
9 hour after the peak, and then what's reported is 24  
10 hours, but it's probably really, I think, 22 or so  
11 because of the same issue Dr. Sweeney brought up  
12 earlier about subtracting out the time to achieve the  
13 peak.

14 What I'm going to show in the case  
15 study is the two ends of that spectrum. I'll start  
16 with the values at the 99.9, and then I'll show the  
17 10<sup>th</sup> percentile. So on the left side, this is the food  
18 exposure scenario to an adult female at the 99.9<sup>th</sup>  
19 percentile where this individual was exposed at the  
20 level for 40 consecutive days. So you see 40  
21 consecutive spikes and drops and spikes and drops.  
22 What I have on the right side is the last day of that  
23 simulation. So you see a spike just above 7 and a  
24 quick drop down. And everything here is reported as

1 pg/g.

2 On the other end of the distribution is  
3 the same kind of simulation, but in this case, the  
4 adult female is assumed to be exposed at the 10<sup>th</sup>  
5 percentile for 40 consecutive days. And here you see  
6 the peak come up to just under .3, but even at 10 and  
7 24 hours, we're at or around .02, if not a little bit  
8 higher.

9 We did in the issue paper show some  
10 example worker scenarios, and I'll just show one here;  
11 but there may be some questions coming on some of  
12 those. In the 2014 Human Health Risk Assessment,  
13 we've assessed somewhere in the order of over 200  
14 different scenarios, and those scenarios range in  
15 their exposure value over a pretty broad range of the  
16 continuum of exposure levels. We've only showed a  
17 very small subset of that over 200 in the case studies  
18 but eventually we'll need to do all of those for risk  
19 assessment purposes. We selected a subset of what we  
20 would call the lower scenarios, and those are also  
21 representative of the most often used application  
22 rates, so what we do have to show you is really  
23 representative across many of the uses.

24 The same kind of format, the left side

1 is a full simulation. So what we have here is two  
2 consecutive work weeks. That's five days of work, two  
3 days off, five days' work, two days off. This is for  
4 workers who handle, mix, and load liquids for cole  
5 crops. And you're thinking what is a cole crop? It's  
6 broccoli and kale and vegetables like that. If we  
7 take the last day of that simulation and blow it up on  
8 the right side, we see the peak of that simulation  
9 exceeds 300 pg/g. and even at 10 hours. we're still, I  
10 believe, above 10.

11 So thinking about drinking water  
12 exposure potential, as Rochelle talked about earlier,  
13 there are a variety of drinking water treatment  
14 methods that are used across the country depending on  
15 the different locality. And depending on the  
16 treatment will also depend on how much chlorpyrifos  
17 may be converted to its oxon, and so you see across  
18 there, a range from a very low percent of conversion  
19 to nearly 100 percent of reduction.

20 We have a few drinking water exposure  
21 scenarios. They have a similar format shown here.  
22 The left side will be our simulations of our modeled  
23 estimated values. So on the left side, what we have  
24 here is a simulation for a use on onions at one pound

1 per acre, and this is a 30-year estimate of drinking  
2 water exposure across a variety of weather. The red  
3 circle represents 120-day subset of that 30 years. As  
4 it's been talked about a couple times, there is some  
5 memory limitations with axels that we can only do a  
6 subset of the 30 years at one time. So we've selected  
7 that highest peak shown in the red, but as you see in  
8 the arrows on the right side, it's not a 1-in-30-year  
9 event; there are actually other years that spike  
10 fairly high.

11 On the right side of all the drinking  
12 water slides is data that comes from Orestimba Creek,  
13 which is actually measured concentrations. So each of  
14 these will have one simulation for an estimated model  
15 value and another one for a measured source, and these  
16 are two different locations, two different kinds of  
17 scenarios.

18 We've done some scenarios looking at  
19 females of childbearing age as we've talked about all  
20 day. So the left side is that 120-day subset from the  
21 bulb onion scenario. You can see across the peak in  
22 there is 6.24, but the values never go below 1 across  
23 the entire 120 days. If you look on the right side to  
24 the measured values, the peak here is lower at 1.97

1 and in between the two peaks comes down pretty  
2 quickly. Our assumptions here is that an adult female  
3 consumes water four times a day for a total of 1.7  
4 liters.

5 We've also done some infant scenarios  
6 for infants who are fed with formula constituted with  
7 water. So these infants would have water exposure  
8 from these bottle feeding events. With infants, we  
9 make an assumption of 6 times per day with a total  
10 consumption of about 2.7 liters. On the left side is  
11 that estimated bulb onion scenario, and you can see  
12 the peak from the infant in our scenario exceeds 20  
13 pg/g, and even on the lower days, it's still above 5.  
14 On the right side is the Orestimba Creek measure  
15 values in the infant, and you see the peak is around 6  
16 and also drops fairly low in between those peaks.

17 We do have a charge question, the last  
18 one of all the charge questions on how we're doing  
19 this linkage. Keeping in mind that we will need to  
20 assess all the current uses and you only have a subset  
21 in the case studies.

22 The last section of the issue paper  
23 explicitly goes through some of the uncertainties  
24 identified by the 2012 SAP. We're keenly aware that

1 transitioning from using acetylcholinesterase as the  
2 point of departure to using the biomonitoring data  
3 from the Columbia comes with associated uncertainties.  
4 And in many ways, we feel like our analysis and the  
5 work that we've been doing largely addresses those.  
6 These are rows directly out of the table that's in the  
7 last section of the main part of the issue paper. The  
8 SAP in 2012 as I mentioned about a half hour ago, the  
9 relatively modest sample sizes and how those modest  
10 sample sizes mean that moderate to large exposure  
11 differences are need for significant affects.  
12 Moreover there's uncertainty identified that the cord  
13 blood data represent a single point in time and there  
14 are no other additional information on postnatal  
15 exposures. All of these we think are part of our  
16 explanation of why we're retaining the FQPA 10X with  
17 respect to the sample size and the uncertainty in the  
18 dose-response curve that supports retention of that  
19 factor.

20 The next one raised by the SAP in 2012  
21 is the lack of clarity regarding the linear dose-  
22 response curve instead of a potential threshold. The  
23 Rauh 2011 paper, as we noted earlier, reported a  
24 linear regression, and we're actually using that

1 linear regression to derive a point of departure in  
2 the BMDL we're proposing for the point of departure.

3           Next set of uncertainties is the use of  
4 a single or average sample exposure, and the  
5 representativeness of that single point exposure is  
6 still unclear in that we know that there are going to  
7 be time-varying exposures. And then second, that  
8 there's really a lack of understanding around the  
9 critical window of potential susceptibility. And as  
10 we've shown in our own simulations that we do admit  
11 that there's time-varying exposures, and those  
12 exposures actually can vary quite rapidly on a fairly  
13 short time frame. But what we believe is that the  
14 reported values by Columbia actually are less likely  
15 to represent the times when the values are going up  
16 and down very quickly and more likely at the bottom of  
17 those curves if not near the terminal half-life where  
18 the values become more stable.

19           Also the lack of the critical window,  
20 it's likely that chlorpyrifos was applied nearly  
21 monthly across the women's apartments whether it was  
22 by themselves or by individuals, professionals or  
23 somebody with the apartments. So we've done these 30-  
24 day simulations that represent that monthly



1 evaluation.

2           The SAP in 2012 commented on the lack  
3 of clarity about the external generalizability of the  
4 cohorts or what I noted earlier as the unknown  
5 information about how the Columbia cohort differs or  
6 is similar to susceptible other groups across the U.S.  
7 population. And because of that lack of information  
8 is part of why we're retaining the 10X intra-species  
9 factor. So in 2012, there were questions about the  
10 biological plausibility in the lack of mechanisms and  
11 adverse outcome pathways and the mixed results found  
12 in the animal studies. We have not really fully  
13 talked about these issues today, but they were  
14 discussed at length in 2012. And we have included for  
15 all of you to look at in Appendix 3 summaries of our  
16 review of these issues, and also it's included in the  
17 2014 risk assessment.

18           There are multiple hypotheses looking  
19 at the adverse outcome pathways, but those are likely  
20 years away. And I think one of the things -- it's  
21 important to remember that lead and methyl mercury,  
22 the agency has been using epidemiology data for lead  
23 and methyl mercury for a very long time, and neither  
24 of those have defined adverse outcome pathways during

1 developmental effect. So the lack of an understanding  
2 of adverse outcome pathway does not prevent the agency  
3 from using the epi data.

4 In sum, you've heard a lot of detailed  
5 analysis today. We believe we have pretty well  
6 characterized our predictions of exposure to women in  
7 the Columbia cohort prior to the time of their giving  
8 birth. We're proposing an approach for using the cord  
9 blood data from Columbia to derive a point of  
10 departure for use in quantitative risk assessment.

11 And as part of this, it proposes an innovative  
12 approach to use the PBPK model to integrate external  
13 and internal dose from food, water, and occupational  
14 exposure. And that's it.

15 **DR. JAMES MCMANAMAN:** Thank you. Any  
16 questions for Dr. Lowit and the agency? Dr. Fisher.

17 **DR. JEFF FISHER:** So in the case of the  
18 infant model, where does that come from?

19 **DR. CECILIA TAN:** The infant model is  
20 part -- there is a life-stage model for chlorpyrifos,  
21 and I believe it's also part of the 2014 publication.  
22 That model includes the entire life stage, just not  
23 including the gestational period. So it has an  
24 infant.

1 DR. JEFF FISHER: It's the life-stage  
2 model that you talk about in the report?

3 DR. CECILIA TAN: Yes.

4 DR. JEFF FISHER: Okay.

5 DR. JAMES MCMANAMAN: Yes.

6 DR. STELLA KOUTROS: I have a question  
7 for you, Anna, which I hope I can articulate, but I'm  
8 not familiar with the risk assessment approaches. But  
9 I'm just trying to wrap my mind around some of the  
10 questions that I'm supposed to weigh in on here.

11 One part of the question that I've been  
12 asked to comment on is the impact of the sample size  
13 on the Columbia sample size or the uncertainty  
14 surrounding the sample size. I didn't understand -- I  
15 saw that you provided the language about the pre- and  
16 postnatal exposure, and then something you said about  
17 dose-response, and I didn't understand why this  
18 particular issue, just the sample size, would be  
19 linked with the uncertainty around the dose-response  
20 issue rather than other uncertainties than dose-  
21 response. So are we to comment specifically on the  
22 sample size? And I didn't know if that was or was not  
23 also related to the option where you're showing using  
24 the linear regression and the standard error estimate,

1 which I think account for the sample size issue, if  
2 that's a separate thing altogether or if those two  
3 things are related in any way?

4 Sorry, I know it was kind of a lot.  
5 Again, forgive my lack of understanding of the risk  
6 assessment process, but I'm trying to link these two  
7 thoughts about the language that requires this pre-  
8 and postnatal exposure consideration and the impact of  
9 the sample size.

10 **DR. ANNA LOWIT:** Let me see if I can  
11 find it in and then we can -- that way we're talking  
12 about the same thing. Okay, so on the left side,  
13 those are the direct quotes out of the SAP report.

14 **DR. STELLA KOUTROS:** Yes, so actually  
15 it was more about in the first part of your talk. I  
16 saw that there are several more uncertainties here,  
17 and I didn't understand how they've been separated  
18 across various parts for our consideration.

19 **DR. ANNA LOWIT:** Okay, I'm following  
20 now. Let me see if I can find the -- I understand  
21 that it may feel awkward, although that might be an  
22 incorrect description, to parse out the uncertainties.  
23 I think that's kind of what you're getting at. So  
24 what we've done --

1                   **DR. STELLA KOUTROS:** Like, if you go  
2 back to -- yes, this language. Originally when you  
3 showed the language that said why you were required to  
4 consider pre- and postnatal parts, which I get, but  
5 then the next thing that follows, you said something  
6 about the dose-response.

7                   **DR. ANNA LOWIT:** So there's the  
8 statement out of the statute, the pre- and postnatal  
9 toxicity and completeness of the data with respect to  
10 exposure and toxicity. This phrasing anchors how we  
11 can start parsing out the uncertainty so that within  
12 human variability, we would parse out thinking about  
13 susceptibility across the population and a lack of --  
14 for the intra-species, we'd think about the adverse  
15 outcome pathways and what is known about that and the  
16 integration of the animal studies with the epi,  
17 thinking about that plausibility issue. So for the  
18 FQPA factor, it's parsed out. The pre- and the  
19 postnatal I think is probably fairly self-explanatory.  
20 The completeness of data with respect to exposure and  
21 toxicity I think is where we're thinking about the  
22 sample size issue and the modest sample size requiring  
23 the fairly large risk ratios to get a statistical  
24 change as it relates to the Rauh 2011. So they've

1 done that linear regression, but remember 30 or 40  
2 percent of the cohort is below the 1 picogram, so  
3 those values are all imputed. So although they've  
4 reported linear regression, there's an uncertainty  
5 about the shape of that curve, of the truth of the  
6 linearity below the measured values. I don't know if  
7 someone else would want to weigh in.

8 **DR. STELLA KOUTROS:** I guess I thought  
9 that -- maybe I'm not understanding this correctly,  
10 that the truth is bound by the 95 percent confidence  
11 or one way that we use to bind what to put bounds on,  
12 the true values around that factor. And it sounded  
13 like you were proposing an option to use that  
14 information already.

15 **DR. ANNA LOWIT:** And we are, and that's  
16 a matter of science policy. So if it is your belief  
17 that those are already covered and it would be sort of  
18 a pseudo double counting, then we would welcome that  
19 in your comments when you answer the question.

20 **DR. STELLA KOUTROS:** Thank you.

21 **DR. JAMES MCMANAMAN:** Others? Yes, Dr.  
22 Pependorf.

23 **DR. WILLIAM POPENDORF:** I've got three  
24 questions really, one going back to, I guess, slide

1 130. Well, I can explain it without having to go back  
2 there, but the Rauh et al. (2011) provided a slope  
3 that you're proposing to use. Do you know how that  
4 slope was actually generated? That is to say it  
5 sounds like regression, and if it was like linear,  
6 it's sort of logged on your regression because they've  
7 got all the score. But was the intercept fixed or was  
8 it variable?

9 **MR. JIM NGUYEN:** My name is Jim Nguyen  
10 from U.S. EPA. In the Rauh study, they do the log of  
11 Working Memory equal the intercept in the model. Why  
12 is the Working Memory -- why equal intercept, plus  
13 slope, times exposure? So when you have the exposure  
14 equal 0, that become a typical Working Memory of  
15 children, they have no exposure. And when you have  
16 exposure increase the Working Memory reduction by the  
17 slope times the exposure level.

18 **DR. WILLIAM POPENDORF:** I'm not sure  
19 that I followed all of that, but they didn't report  
20 the intercept, which suggests to me that maybe they  
21 fixed it rather than -- in fact, the cube exploring  
22 regressions that they did show on their plots all  
23 converge toward zero exposure suggesting that there  
24 wasn't much variance there, and yet the data at zero

1 exposure is very wide, which suggests that it was a  
2 fixed value and not an unknown.

3 **DR. SHARON SAGIV:** That's pretty  
4 standard to have fixed intercept in many regression  
5 for epi studies. I don't think that's unusual.

6 **DR. WILLIAM POPENDORF:** Well, we can  
7 talk about the rationale for that, but I'm just trying  
8 to establish that it was, in fact, fixed.

9 **DR. JAMES MCMANAMAN:** That was an  
10 interjection by Dr. Sagiv.

11 **MR. JIM NGUYEN:** So when you have a  
12 regression intercept -- the intercept is one value but  
13 if the exposure equal zero so we have the Working  
14 Memory, it's a variation. So the standard deviation  
15 of Working Memory is about 15, so there is a lot of  
16 (inaudible) intercept.

17 **DR. WILLIAM POPENDORF:** Yes.

18 **MR. JIM NGUYEN:** And in the model we  
19 have only one intercept that's the mean of the Working  
20 Memory at no exposure. So when we do the BMDL after  
21 we put into the model the exposure level -- subtract  
22 the new exposure level of Working Memory, the  
23 intercept cancels out. So only left over is the mean  
24 times the exposure level.



1                   **DR. WILLIAM POPENDORF:** Well, what I'm  
2 concerned is -- a couple of concerns, but one is that  
3 you would get a different confidence interval  
4 particularly around no zero exposure level if you fix  
5 that intercept or if you left it a variable. And  
6 you're proposing to use that confidence interval in  
7 the calculations as I understand it. You're going to  
8 go, what, 95 percent or something, the diagram. That  
9 was sort of my other question, but I'm trying to get a  
10 handle on, you know, what did they use to determine  
11 that confidence interval that, Jim, you're using,  
12 which may or may not be the appropriate thing they  
13 should have done. I think in this case they didn't  
14 say if they fixed it. I presume if they did, they  
15 fixed it to a value of 100 which LN of 4.605 I think  
16 would be on that diagram, but they didn't say that.  
17 So I'm just trying to get a handle on it.

18                   Oh, so then as I understand your  
19 diagrams there and the process, what you would be  
20 doing is taking the confidence interval -- well  
21 basically, using the regression of dose against  
22 Working Memory index to derive the slope, confidence  
23 interval, and then trying to use that same -- you're  
24 going to go the other way taking the 1 percent of

1 change in Working Memory and trying to use the  
2 confidence interval based on the other regression,  
3 right? If you're following, I mean, you know you're  
4 basically X against Y to begin with, and then Y  
5 against X is the way you're going to use it. But you  
6 probably didn't regress it the other way, did you? Or  
7 did they? You're just using their data, right? You  
8 don't have the data, so you didn't run your own  
9 regression. So basically, if we're looking at Rauh  
10 2011, we're seeing what you're seeing and whatever is  
11 there is what you're using.

12 **MR. JIM NGUYEN:** So when we have an  
13 intercept plus the model is  $\log$  Working Memory index  
14 equal the intercept plus slope times the exposure  
15 level. So when we have an exposure level increase the  
16 percent reduction,  $\Delta Y$  equal  $\beta$  times  $\log$  and  
17 slope times the exposure. So given the  $\Delta Y$ , we  
18 can calculate the exposure level by  $\Delta Y$  divided by  
19 slope  $\beta$ . And by definition, the 95 percent lower  
20 limit of the slope, that's 95 percent confidence of  
21 the  $\beta_L$  that's in the lower limit will be less than  
22 the true value and then if you have the inverse of  
23 that one reciprocal then we have a 95 percent  
24 confidence that the one over  $\beta_L$  will be greater

1 than one over beta 2, two slope. And then when you  
2 have multiplied both sides by the delta Y, delta Y is  
3 negative so the (inaudible) of inequality will  
4 (inaudible) again so 95 percent confident that delta Y  
5 over the beta L will be less than delta Y over the  
6 beta 2. Beta 2 is a two slope, and delta Y divided by  
7 beta 2 is actually the true value of the exposure  
8 level. Ninety-five percent exposure level would be  
9 less than the true value exposure level. and that is  
10 BMDL. By the combination the intercept will not  
11 evolve into the BMDL at all. So even that we don't  
12 have the intercept they involve intercept value, we're  
13 still able to cover the BMDL.

14 **DR. WILLIAM POPENDORF:** It doesn't come  
15 into your calculations, but the 1 percent, is it 1  
16 percent of the population which may or may not, you  
17 know, no exposure for this population may be more than  
18 100 percent. It could be less than 100 percent. That  
19 wasn't calculated. It apparently wasn't a variable.  
20 We'll talk about that later, but I'm just -- let me  
21 come back to my third question if you don't mind.

22 **DR. JAMES MCMANAMAN:** Okay, sure. Dr.  
23 Terry.

24 **DR. ALVIN TERRY:** I have a couple of

1 questions related to the biologic plausibility and  
2 this idea that you moved from using animal data to  
3 make decisions about points of departure to human  
4 data. And I do understand there are a lot of  
5 differences in the various basic research studies.  
6 However, I was perusing a number of review articles  
7 during the break looking at animal data, cell culture  
8 data and the whole gamut, and I have yet to find a  
9 single case where a pg/g concentration correlates with  
10 anything, any neurodevelopmental deficits or anything  
11 else. And so doesn't that give you cause for concern?

12 I mean, you're jumping to, you know,  
13 making a really big jump, and you're sort of  
14 discounting reams of animal data where there is  
15 absolutely no evidence I could find that this low  
16 concentration does much of anything.

17 **DR. GINGER MOSER:** This is Ginger Moser  
18 with EPA, and you're entirely correct. There are no  
19 studies that used doses that low. The problem is, for  
20 the most part, the studies used doses that inhibit  
21 cholinesterase, and we know that cholinesterase was  
22 not inhibited in these women. These concentrations  
23 were orders of magnitude lower than anything that  
24 would inhibit cholinesterase. So you're not comparing

1 apples and apples. In the animal studies, you're  
2 looking at doses high enough to inhibit cholinesterase  
3 and may also be acting on other systems. But what we  
4 don't know is if you go down lower in dose, are we  
5 still getting effects on other systems? And there is  
6 a lot of basic research looking a lot of the different  
7 kinds of pathways that they may be affecting. You  
8 know, there's the serotonergic systems, the  
9 dopaminergic system, endocannabinoid system, the  
10 different kinds of things that could be affected that  
11 are not a result of cholinesterase inhibition. So we  
12 just don't know, and that's the uncertainty. There  
13 are very few studies out there now that are looking at  
14 lower doses, but the database is very small. That's  
15 the problem. What you just said is the problem.  
16 That's why there's uncertainty, and we just don't know  
17 how to make that extrapolation.

18 **DR. ALVIN TERRY:** Can I ask a follow  
19 up?

20 **DR. JAMES MCMANAMAN:** Yes.

21 **DR. ALVIN TERRY:** I guess, at least  
22 with cholinesterase, it's a real biochemical  
23 measurement, you know. It's a tangible number you can  
24 reproduce. And one of the things I don't know; I'm

1 not a human researcher, but I do a lot of animal  
2 research where Working Memory is one of our outcome  
3 measures. And I can tell you that 2, 4, 6 percent  
4 change is easily within the day-to-day variance in  
5 performance. So I don't know if there's a human  
6 researcher that does Wechsler in the group, but are  
7 those numbers really meaningful, I guess, is my  
8 question.

9 **DR. GINGER MOSER:** Yes, that is  
10 definitely within the range that you would get in  
11 animal studies. Because there's more variability in  
12 those types of animal studies. I don't do those  
13 measurements in humans, and I think that there might  
14 be some people here that could address that more. But  
15 I think that the variability in those human results is  
16 very different from what you get in animal studies,  
17 but we're just using very crude behaviors to measure  
18 what we think are cognitive functions Working Memory.

19 **DR. JAMES MCMANAMAN:** Dr. Sagiv.

20 **DR. SHARON SAGIV:** I wanted to address  
21 the last comment. Sharon Sagiv from UC Berkeley. As  
22 Ginger mentioned, the animal studies and the human  
23 studies are really different, and one of the big  
24 difference is the human studies tend to be a lot

1 larger. So you have a much bigger sample size, and  
2 you might not see the variability you would see with a  
3 much smaller sample size; that's one thing. The  
4 second thing is that you may only see a couple of  
5 points on a Wechsler Scale difference per unit  
6 increase in your exposure, whatever it may be. But I  
7 think there are a lot of studies that have talked  
8 about how even those small increments or small effect  
9 size can mean a lot in a population level. So I just  
10 wanted to bring that up, and I know everyone's  
11 familiar with small changes in the mean can mean  
12 profound changes in the tails of the distribution or  
13 outcome.

14 **DR. JAMES MCMANAMAN:** Yes, Dr. Pessah.

15 **DR. ISAAC PESSAH:** Just wanted to point  
16 out I did a rough back-of-the-envelope calculation. I  
17 mean, you're talking about somewhere between 5 and 25  
18 picomolar activity at a target. That's rarely  
19 achieved even with the highest affinity drugs, so  
20 chlorpyrifos must be pretty special to be able to  
21 target a molecule at that low of concentration.

22 **DR. JAMES MCMANAMAN:** Dr. Jett. I'm  
23 sorry, Marion.

24 **DR. MARION EHRICH:** Mine follows that

1 last one because I'm looking at slide 146. Are you  
2 proposing to actually measure point of departure at 2  
3 pg/g and dietary food/water at .02 pg/g, the numbers  
4 that are on slide 146?

5 **DR. ANNA LOWIT:** Okay, so those values  
6 on slide 146 to remind, the point of departure was  
7 derived from the slide we had up a minute ago from the  
8 2 percent change in Working Memory from the Rauh 2011.  
9 And so the RfD on the next bullet down is our proposal  
10 of that point of departure adjusted by 2 factors of  
11 10, one for the human variability and the other one  
12 for the statutory required FQPA 10X. That would be  
13 well below the limit of detection within the Columbia  
14 study.

15 **DR. MARION EHRICH:** How can you  
16 regulate on that one? It's something that you can't  
17 measure.

18 **DR. ANNA LOWIT:** Well, I think what's  
19 measurable and what level you define as the line in  
20 the sand is what's safe for the entire U.S.  
21 population, it may not necessarily be the same thing.  
22 So the measurable levels that come from Columbia  
23 derive the point of departure, but the Agency, as a  
24 matter of policy, does low-dose extrapolation to



1 ensure that that point of departure and the line in  
2 sand is protected for the entire U.S. population.

3 **DR. JAMES MCMANAMAN:** Yes, Dr. Sweeney.

4 **DR. LISA SWEENEY:** I have a question  
5 about mode of action. In terms of thinking of mode of  
6 action as a sequence of events, I understand that you  
7 don't have one theorized for chlorpyrifos, but by  
8 making the reference dose basically based on something  
9 that appears to be basically a one-time peak  
10 concentration, are you implying that the mode of  
11 action is based on an achieved peak concentration of  
12 chlorpyrifos as opposed to any consideration of  
13 multiple peaks throughout a period of time or even a  
14 daily time weighted average blood concentration? I'm  
15 just saying are you sort of implying something about  
16 how it acts in terms of peak versus average even if  
17 you're not talking specifically about biological  
18 events?

19 **DR. ANNA LOWIT:** So I'll get it  
20 started, and I may pass to Cecilia next to me.

21 **DR. JAMES MCMANAMAN:** Anna Lowit.

22 **DR. ANNA LOWIT:** Yes, sorry Anna Lowit.  
23 So Cecilia and I both used the same slide a couple  
24 times today that I'm going to try and find really

1 quick. There's a lot of slides to go backwards. Did  
2 I go the wrong way? Can you find that peak slide? So  
3 Cecilia will find the slide. Okay, so your question,  
4 you asked if we're assuming that this is the peak  
5 value. Actually, we don't think these are the peak  
6 values. We think that as we've shown a couple of  
7 times today, that the pattern of the internal  
8 concentration we think rises and drops fairly quickly  
9 because of that three-to-four half-life. And so  
10 during the time of exposure, whether it's a food  
11 eating event or an occupational event, someone in the  
12 field picking apples or someone in their apartment  
13 sitting on the floor for a while, that those exposure  
14 events lead to rapid changes in those internal  
15 concentrations. But when those events are in that,  
16 there is a fairly rapid drop within the matter of a  
17 few hours. And then once that drop occurs, the  
18 reported -- that the values within the terminal half-  
19 life are stable within the few days. In fact, we  
20 don't think it's the peak. We think it's the lower  
21 point values on the curve, and if you go back to Wade  
22 and Danette's presentations, our estimates, our  
23 predictions to the women at somewhere in the order of  
24 8 to 24 hours post-exposure actually match pretty well

1 the Columbia data at the higher percentiles.

2 **DR. LISA SWEENEY:** I understand you're  
3 saying that the Columbia measurements are not a peak  
4 concentration, that they're a post-peak concentration,  
5 but are the concentrations of concern because a  
6 particular peak was achieved. And does it only matter  
7 if that peak is achieved once, or does it matter if  
8 it's achieved every day of pregnancy? Does it matter  
9 if it peaks for two weeks as an applicator? That's  
10 what I'm asking about mode of action.

11 **DR. ANNA LOWIT:** We're not making any  
12 statement on the number and the frequency of the how  
13 often those may have occurred and, in fact, the  
14 exposure pattern to the women in the cohort is not a  
15 knowable event. The Columbia investigators did not  
16 collect information on how the frequency of the  
17 applications, the timing of those applications, the  
18 kind of application. This was why we embarked on the  
19 scenarios that Wade discussed earlier. Our goal with  
20 those was to try to characterized the continuum of  
21 what those exposures could have been theoretically  
22 from the highest exposure scenario, which would have  
23 been that broadcast down to something far lower, even  
24 orders of magnitude lower, and to characterize that

1 continuum. Because that pattern across the nine  
2 months of her pregnancy is unknowable, and on top of  
3 that, since the adverse outcome pathway or pathways  
4 are not known, we can't match the critical window  
5 susceptibility to when those applications occurred.  
6 But what we do know is that the women were exposed,  
7 and we do know they were exposed close enough to the  
8 time of the delivery that a large number of them  
9 before the cancellation had measurable levels and  
10 levels that we've been actually pretty well able to  
11 reproduce here.

12 **DR. CECILIA TAN:** Cecelia Tan, EPA.

13 Dr. Sweeney, let me try to understand your question  
14 first. So you're saying that we really don't know  
15 given that there are unknown AOPs. That it could be C  
16 max that's causing the effect. It could be average  
17 concentration that's causing the effect, or it could  
18 be I don't know some average AUC above some threshold.  
19 We don't know that. And our assumptions here first of  
20 all, from the epi studies -- let's say that the  
21 association is true that biomarkers associated with  
22 the outcome, the next assumption that we're making if  
23 you remember the slide that I presented that you have  
24 to find exposure time course, it can end up with the

1 same biomarker concentration. But what we're saying  
2 is we have a pretty good estimate of what the real  
3 exposure scenario is. On top of that, we have a  
4 pretty good bound on what is the time between exposure  
5 and biomarkers samples. So in a way, we are using  
6 that biomarker concentration as a surrogate of the  
7 entire exposure scenario, the patterns, so it may be  
8 the peak that's causing the effect. It may be the  
9 average that's causing the effect. But what we're  
10 saying is this one measurement is a good  
11 representation of whether the peak or the average  
12 concentration, the entire time course.

13 **DR. LISA SWEENEY:** I guess I'm just  
14 saying that if you're going to compare the 10-hour  
15 value after a particular scenario for all sorts of  
16 different worker, residential, various uses, what  
17 happens before that last day can be very different in  
18 the study population as opposed to the potentially  
19 exposed populations that you're looking at. I'm  
20 saying that it could be very different. It could be  
21 similar, but they could be very different.

22 **DR. ANNA LOWIT:** And we fully  
23 acknowledge that. I mean, we've got a variety of  
24 these simulations that have these spikey appearances,

1 and the magnitude of their peaks ranges across orders  
2 of magnitudes. I think to some degree, because of  
3 that, there's a benefit to sticking to the 10- and the  
4 24-hour post-exposure because the values at that point  
5 are far more stable across a several day time frame  
6 and becomes a much more apples-to-apples comparison to  
7 that from the Columbia data.

8 **DR. JAMES MCMANAMAN:** Dr. Pessah.

9 **DR. ISAAC PESSAH:** Isaac Pessah, UC  
10 Davis. I was just wondering could this -- we do know  
11 that organophosphates covalently modify at least one  
12 target, esterase and estro cholinesterase. Do you  
13 account for the accumulative nature of the biological  
14 effects where it's not the peaks and the troughs where  
15 you're going to phosphorylate/dephosphorylate, but  
16 you're going to systematically reduce -- and I realize  
17 these women didn't have reduction in cholinesterase,  
18 but there could be another target that's covalently  
19 modified which don't follow the PK. They actually add  
20 accumulative. Is that possible? Did you consider  
21 that in your model?

22 **DR. CECILIA TAN:** Cecelia Tan, EPA. I  
23 will -- maybe I don't completely understand your  
24 question, but earlier when we did the 2014 risk

1 assessment, we did look at the blood concentration and  
2 cholinesterase inhibition, and they do have different  
3 patterns. So for blood concentration, there is this  
4 rapid increase and decrease as Anna had shown in her  
5 slides, the worker exposure if you remember that, but  
6 for cholinesterase inhibition, you do see that  
7 accumulative effect. So it doesn't go all the way  
8 back. It doesn't have that rapid change as the blood  
9 concentration.

10 **DR. ISAAC PESSAH:** So I guess is your  
11 calculation -- if there is some other target with  
12 picomolar affinity, could it be cumulative, and could  
13 your 10X underestimate? I'm going the other  
14 direction.

15 **DR. ANNA LOWIT:** On the  
16 pharmacodynamics side, the only marker within the  
17 model is the acetylcholinesterase protein. So other  
18 markers, I'll defer to Ginger to say what some of  
19 those are so I don't misspeak, are not implicit in the  
20 calculations. So I think the answer is no.

21 **DR. JAMES MCMANAMAN:** Yes. Dr. Fisher.

22 **DR. JEFF FISHER:** So now one of my  
23 questions I've been thinking about until now to talk  
24 about it, is your selection of the dose metric of peak

1 is looking at the stable time versus other options,  
2 and it was just discussed. Why did you not use area  
3 under the curve to capture the complex exposure  
4 scenarios for the duration of whatever unit time you  
5 want?

6 **DR. ANNA LOWIT:** I'm not sure this is  
7 going to answer you or not, and we can try again.  
8 With the drinking water scenarios, we don't have --  
9 because there is drinking events through the day, you  
10 don't see this up and down, up and down, up and down  
11 kind of event you do with those other scenarios. It  
12 smooths out quite a bit because it never achieves that  
13 drop. So if an adult is assumed to drink three or  
14 four times a day and an infant six, I believe it's six  
15 times a day that we're assuming, you don't see these  
16 spikes. This is one of our infant case study, on the  
17 left side is the predicted values from our modeling,  
18 the right side being the measured values from  
19 Orestimba Creek. Certainly on the left side from the  
20 predicted values because the concentrations are  
21 remaining fairly high through that 120 days, you don't  
22 see any quick drop like you do on the right side where  
23 the times of measured values are more distinct and  
24 separated. But even within that, because there's



1 these four to six times per day even on the right  
2 side, you can see that within those days of measured  
3 values, nothing is dropping. Are you following?

4 **DR. JEFF FISHER:** So because of the  
5 disagreement between the model and the measured data,  
6 is that why? No? Maybe I'm not getting it.

7 **DR. CECILIA TAN:** Cecelia Tan, EPA.  
8 For the drinking scenarios, we are using the average  
9 concentration, right, for comparison, because there's  
10 no - oh, it's to measure the concentration --

11 **DR. ANNA LOWIT:** So the right side are  
12 actually values from an actual measurement from a  
13 creek. But since the drinking events are within a few  
14 hours, if the half-life is three hours, you have a  
15 drinking event equal to that half-life, so you don't  
16 see the up and down once a day thing. It's a little  
17 more bumpy because of the frequency of the drinking  
18 compared to the half-life. And so during these events  
19 of water exposure, you don't actually enter into the  
20 terminal phase. The values stay fairly high, but you  
21 do see it between those two measured peaks. Whereas  
22 on the left side is the predicted values from the bulb  
23 onion which are predicting fairly, you know, descent  
24 levels of chlorpyrifos consecutively across many days,

1 so you never see that drop because there's a drinking  
2 event every few hours.

3 **DR. CECILIA TAN:** Cecelia Tan, EPA.

4 Dr. Fisher, maybe we didn't understand your question  
5 correctly. For these case studies, the PBPK model is  
6 now used to simulate different exposure scenarios, not  
7 the biomarker scenario any more. But what we think is  
8 the drinking water scenario, food scenario and worker  
9 scenarios to predict the internal blood concentration  
10 and that prediction is compared with -- what is that  
11 level, the point of departure to see if any of the  
12 predicted concentration exceed that point of  
13 departure.

14 **DR. JEFF FISHER:** That helped because  
15 the concentration is your anchor, the measured  
16 concentration. You're always going back to that.

17 **DR. CECILIA TAN:** Yes.

18 **DR. ANNA LOWIT:** We could, on any of  
19 these plots put a line, you know, a colored line that  
20 would represent either the point of departure or the  
21 extrapolated to the reference dose. So if we did the  
22 point of departure, it would, if you look on the left  
23 side at the two, it would basically start from that  
24 two and go across meaning that the internal

1 concentration in the infant for this bulb water onion  
2 scenario basically equals the point of departure for  
3 the bulk of the 120 days and actually exceed it some  
4 days. We could do the same thing on the right side,  
5 but the scale is different so that the two is much  
6 higher and so that would be the point of departure and  
7 you would then compare that to a value 10 or 100 times  
8 lower which would be at the .02, which is pretty far  
9 which would be essentially how we would do on a risk  
10 assessment. We could do that for any of the  
11 scenarios. So if I pull up the worker ones, it would  
12 be the same deal that the point of departure is around  
13 two and so the scale here, it's hard to see the two  
14 because the values are much higher, you can draw that  
15 same line at two across to see how they match. And  
16 you could do the exact same thing on the food.

17 **DR. JEFF FISHER:** Thank you, I have one  
18 more question. The within person variability that  
19 translates to an uncertainty factor, would Monte Carlo  
20 simulation help with that factor instead of, I think  
21 you had 10X.

22 **DR. ANNA LOWIT:** For the 2014 risk  
23 assessment, we actually did those Monte Carlo  
24 simulations for the acetylcholinesterase endpoint. We

1 did that for life stages except for women who could  
2 become pregnant. Because the pregnancy model hasn't  
3 been published or doesn't have chlorpyrifos data  
4 within it, we didn't feel like that model was robust  
5 enough to develop that factor. But for other life  
6 stages, theoretically, yes, you could do it; from a  
7 pharmacokinetic point of view, not for the dynamic  
8 point of view, and we have done it. For example, you  
9 could do the infants that way.

10 **DR. JAMES MCMANAMAN:** Yes Dr. Rohlman.

11 **DR. DIANE ROHLMAN:** I want to go back  
12 to Isaac's point when he was talking about the  
13 cumulative effect of exposure over time, and maybe you  
14 could talk a little bit more about that to make sure  
15 I'm clear on it. What we see and what you're modeling  
16 here is the chlorpyrifos in the blood. You can see  
17 the peaks, and then there's troughs; and I see, you  
18 know, when it was up before, we do see a lower level,  
19 which shows a slight cumulative effect because the  
20 bottom of the trough increases there. But if we look  
21 at something like cholinesterase inhibition or urinary  
22 biomarkers, we see cumulative effect where the lab  
23 would stay up until exposure has ended. How is that  
24 captured in your model? I don't think it is, or

1 should it be?

2 **DR. CECILIA TAN:** Cecelia Tan, EPA.

3 For the chlorpyrifos model, there are two parts.

4 There's the PBPK part, which predicts the

5 concentration in tissue and blood. There's also the

6 PD model, which I showed earlier and then that PD

7 model describes the binding between the chlorpyrifos

8 and cholinesterase; and there is an accumulation

9 because in that model, it describes the binding as

10 irreversible. So it binds and then the only way for

11 it to go away is for the enzyme to die, if that's the

12 word, and then that is captured in the model.

13 **DR. DIANE ROHLMAN:** In which model?

14 **DR. CECILIA TAN:** In the PBPK-PD model,  
15 the chlorpyrifos model.

16 **DR. DIANE ROHLMAN:** Okay.

17 **DR. CECILIA TAN:** So the accumulative  
18 effect is captured in the model, but we did not talk  
19 about it in this analysis because we focused on the  
20 blood concentration rather than the cholinesterase.

21 **DR. JAMES MCMANAMAN:** Dr. Pessah.

22 **DR. ISAAC PESSAH:** In the PD model, are  
23 you modeling on red blood cell cholinesterase or on  
24 brain cholinesterase?

1                   **DR. CECILIA TAN:** Various red blood  
2 cell cholinesterase. There is brain cholinesterase,  
3 and then I believe there is diaphragm cholinesterase;  
4 and I believe there is one more, lungs. I'll go back  
5 to the slide, but it's really a far way. So I'll show  
6 the structure of the PBPK-PD model. I don't know if  
7 you can see it, and then the tissue that has  
8 cholinesterase described -- are highlighted in blue.  
9 So lungs, diaphragm, blood, and brain.

10                   **DR. ISAAC PESSAH:** In the brain  
11 compartment, are you modeling the massive culling of  
12 neurons during the perinatal period? Because those  
13 cells are not dividing. You're actually losing  
14 millions of cells.

15                   **DR. ANNA LOWIT:** No the PD component of  
16 the model is simply just the calculations for  
17 acetylcholinesterase inhibition. None of the  
18 neurodevelopmental targets or any of the cellular  
19 changes are included and because of that we're only  
20 using the kinetic portion of the model here.

21                   **DR. JAMES MCMANAMAN:** That was Dr.  
22 Lowit. Dr. Rohlman.

23                   **DR. DIANE ROHLMAN:** So just to follow  
24 up again about -- I keep, seem to be fixated on this

1 cumulative exposure, and you know you've done this for  
2 30 days with anticipation that there's one application  
3 of pesticide in the home, 30-day period we have a --  
4 but then there's another pesticide application period,  
5 another 30 days. So you could presume that throughout  
6 the whole nine months, the child has had exposures;  
7 have you looked at longer periods than 30 days? Have  
8 you put that out? And would the effects that we see  
9 in the bottom of the trough accumulate over those --

10 **DR. CECILIA TAN:** I think we've heard a  
11 similar question earlier. I think Dr. Sweeney has  
12 mentioned that but if you look at two months, there's  
13 this accumulation. To answer your question, we did  
14 not look beyond 30 days. If you remember Wade's  
15 presentation, he showed that the peak of the first day  
16 and then also the concentration at the 24 hours, not  
17 24 hours after, but the concentration at 24 hours, you  
18 can see that it's very low already. Again, I can run  
19 the model. It will take a long time, but I'm guessing  
20 even if there is cumulative -- even if it's building  
21 up, I do not think that the number is dramatically  
22 different.

23 **DR. DIANE ROHLMAN:** So the number may  
24 not be different, but then if we extrapolate that to

1 say what are the effects other, you know,  
2 cholinesterase inhibition -- these are our load  
3 levels, but, you know, are we seeing these effects  
4 that are chronic continuing? And so I guess I'm going  
5 back to Isaac's point of being maybe more protected.

6 **DR. JAMES MCMANAMAN:** Dr. Jett.

7 **DR. DAVID JETT:** Real quick question, a  
8 follow up. Do we know what the recommended frequency  
9 of application of chlorpyrifos is?

10 **MR. JEFF DAWSON:** Hello, my name's Jeff  
11 Dawson. I'm in the Health Effect Division. So within  
12 the simulations that were run in the residential  
13 environment when in the period of time where  
14 chlorpyrifos was the preferred indoor insecticide, the  
15 once a month application frequency is a pretty  
16 reasonable approximation of the actual practices that  
17 were going on at that point in time. For other  
18 settings like agricultural settings and so forth, it  
19 kind of varies by crop and pest pressure. For most of  
20 the uses, if you look at total pounds of chlorpyrifos  
21 and my colleagues correct me if I'm mistaken, but most  
22 of the times, it's just on average about one  
23 application per crop cycle per year where most of the  
24 pounds are used, and that's on field crops like soy



1 and corn and so forth. There are other pest complexes  
2 in crops where it's higher frequency. I think some of  
3 the trees for instance and stuff like that where you  
4 might have more than, you know, one or two  
5 applications a year, but they would be much more  
6 limited in the big universe of how chlorpyrifos could  
7 be used.

8 **DR. DAVID JETT:** And during the  
9 Columbia study, was chlorpyrifos available off the  
10 shelf where you could apply it as needed? A  
11 homeowner?

12 **MR. JEFF DAWSON:** Yes, you could go and  
13 purchase and use chlorpyrifos and then was also  
14 because of the nature of the housing within the cohort  
15 there were also, as we understand it, institutional  
16 uses where it would be scheduled for once a month.

17 **DR. DAVID JETT:** But it is possible  
18 that you could actually use it more than once a month.

19 **MR. JEFF DAWSON:** It is possible. Part of the way  
20 that we inferred doing our simulations was also based  
21 on the reporting of the participants in the cohort as  
22 well.

23 **DR. JAMES MCMANAMAN:** Dr. Hayden.

24 **DR. WILLIAM HAYDEN:** Just to respond to

1 Dr. Rohlman's question about the rising bottoms.  
2 Those should expeditiously approach a limiting value  
3 that's driving by the long half-life, the five-day  
4 half-life. So after, you know, say four half-lives,  
5 after 20 days, those bottoms are going to stop going  
6 up.

7 **DR. DIANE ROHLMAN:** But if they  
8 continue to have exposure every month, won't that  
9 continue that process?

10 **DR. WILLIAM HAYDEN:** No, because it is  
11 reflecting kind of the pseudo equilibrium between all  
12 the tissues in the blood and once that gets  
13 established that's what drives that long elimination  
14 half-life too, if you stop the input. That's the way  
15 I'd understand that.

16 **DR. SHARON SWEENEY:** I suggest you look  
17 at slide 151 because in that simulation you have the  
18 same exposure every day for 40 days, and so you can  
19 see that the peaks -- the increase in the peaks slows  
20 down and the troughs also stabilize so that  
21 illustrates that pretty well. Yes, hypothetically  
22 they are getting a wee bit higher, but by 40 days, you  
23 can't really see the difference.

24 **DR. JAMES MCMANAMAN:** Other questions?

1 Dr. Pependorf.

2 **DR. WILLIAM POPENDORF:** Question on  
3 another topic a bit. The FQPA issue, it makes sense  
4 to protect people like the law says, but I'm wondering  
5 in a case like this since you're using the sensitive  
6 base of basically the fetus to determine the effect  
7 and applying it essentially back to that same group,  
8 is there any other example of point of departure set  
9 based on fetal effects -- or fetal exposure shall we  
10 say actually?

11 **DR. ANNA LOWIT:** So there's kind of a  
12 lot in that question. Across EPA, if you look across  
13 the entire EPA, I think methyl mercury is a good  
14 example of a chemical evaluated based on epidemiologic  
15 data from cord blood used primarily by the Office of  
16 Water and reviewed by the NRC a number of years ago.  
17 The Office of Water is not regulated under the Food  
18 Quality Protection Act as we are in the Pesticide  
19 Office. So in the Pesticide Office this will be the  
20 first example of using a cord blood measure to  
21 evaluate a pesticide under the Food Quality Protection  
22 Act.

23 I think that answers your question  
24 although routinely in all of our assessments we by

1 statute have to assess all life stages. So we get  
2 large amounts of animal data including reproductive  
3 toxicology studies and developmental toxicology  
4 studies in rabbit along with for OPs we get a number  
5 of special cholinesterase studies. We routinely  
6 assess fetal and early life exposure in animals in all  
7 our assessments but this is the first one to do what  
8 we're proposing here which is use cord blood from an  
9 epidemiologic study under the Food Quality Protection  
10 Act.

11 **DR. JAMES MCMANAMAN:** Dr. Fisher.

12 **DR. JEFF FISHER:** I'm drawing on my  
13 memory banks concerning that question. I was on the  
14 Dioxin Science Advisory Panel and for non-cancer I  
15 remember cord blood and changes in serum TSH and  
16 extrapolation, empirical extrapolations, time  
17 extrapolations, so that's an example -- a human  
18 pregnancy if I recall correctly it's fetal cord blood  
19 measures of TCDD as it relates to the thyroid the HPT  
20 axis perturbations, TSH specifically.

21 **UNIDENTIFIED:** You have a glossary for  
22 that statement?

23 **DR. JAMES MCMANAMAN:** Okay, Dave.

24 **DR. DAVID JETT:** My quick question, one

1 as a practical question to help with the charge  
2 question, but I also wanted to take advantage of my  
3 long-time colleague Ginger here and ask her if you  
4 know of any animal studies that have empowered well  
5 enough to find a one-to-five percent change in any  
6 memory test, water maze, Working Memory, what have  
7 you? I don't think so, but I just wondered while  
8 you're here if you thought of anything?

9 **DR. GINGER MOSER:** I would say no.  
10 Typically, these types of animal studies will look at  
11 maybe 10 to 12 animals -- litters, per treatment and  
12 in the chlorpyrifos literature that's good. There is  
13 many of them that are as low as five and six which are  
14 just totally underpowered. But to pick up -- for  
15 example with the Morris Water Maze, you know, you're  
16 going to have to have more than 10 to 12 to pick up a  
17 5 percent change in something. And for many reasons,  
18 resource types of reasons, people just don't use that  
19 many animals in a study. But then if the data are  
20 really tight, you can possibly get close to that. You  
21 can get statistical significance close to that, but I  
22 haven't gone through all of them and looked at the  
23 percent change in these affects, in these changes.

24 **DR. DAVID JETT:** I'm getting at the

1       extrapolation issue, but I think I wanted to ask a  
2       more practical question about -- one of the questions  
3       I'm charged with is, I guess it's questions 4, and I  
4       heard something earlier about that the exposure  
5       approaches have already been reviewed extensively and  
6       to not really concern ourselves with that but I'm  
7       looking at this question and it says please comment on  
8       the agency's conclusion that these scenarios  
9       adequately capture the range of exposure and I guess  
10      you mean in comparison with the Columbia study data.  
11      That's what you're talking about when you ask --

12                   **DR. GINGER MOSER:** I was pulling up the  
13      charge questions quickly.

14                   **DR. DAVID JETT:** Okay, question number  
15      4.

16                   **DR. GINGER MOSER:** But I believe that's  
17      the case that we're talking about human exposure as it  
18      would have bene related to the cohort.

19                   **DR. DAVID JETT:** Please comment on the  
20      Agency's conclusion that these scenarios adequately  
21      capture the range of exposure based on the human data  
22      that we're now looking at or just in general do these  
23      make sense. Because if that's the case it seems to be  
24      that that's already been covered based on your earlier

1 comment. I'm just trying to see where to focus on  
2 this question.

3 **DR. GINGER MOSER:** Yes so Charge  
4 Question 4 is largely aimed at the presentation you  
5 heard from our exposure team, Dr. Bohaty, Danette and  
6 Wade earlier with respect to our efforts to look at  
7 the different pathways of exposures; food, water and  
8 the residential. And within the residential to look  
9 at a variety of scenarios that capture the range of  
10 what those possibilities could have been to help us  
11 understand the reported values in Columbia. Yes this  
12 is a question about the human exposure in the  
13 scenarios.

14 **DR. JAMES MCMANAMAN:** Dr. Popendorf.

15 **DR. WILLIAM POPENDORF:** Just to follow  
16 up on Dr. Jett's question, going back to lead. I'm  
17 haven't look at lead exposure, lead standard in a long  
18 time, but was there any effect on learning or IQ or  
19 Working Memory as part of that standard when it was  
20 set for children?

21 **DR. ANNA LOWIT:** Ginger, go ahead.

22 **DR. GINGER MOSER:** This is what I  
23 think, at least for the secondary lead standards, that  
24 yes, it is based on IQ changes. The lead standards

1 are based on IQ changes based on the human data.  
2 Animal studies have confirmed this, but the human data  
3 were obviously much stronger. They had much larger  
4 samples in those human studies.

5 **DR. WILLIAM POPENDORF:** Was there a  
6 quantitative threshold that they used to say this is  
7 the exposure?

8 **DR. GINGER MOSER:** When they first  
9 started, they did regressions on IQ and lead levels,  
10 blood lead levels, and as studies have progressed,  
11 they've looked lower -- at lower and lower lead  
12 levels, and now it's looking like the line is not  
13 completely linear between the lowest and the highest  
14 exposures so actually as you go lower with blood lead  
15 levels the change in IQ has a steeper slope than when  
16 you get higher. So it's almost like a biphasic kind  
17 of line and that's causing some issues too which is  
18 causing some people to believe, and I believe agencies  
19 are starting to think there may not be a threshold for  
20 lead, that you just keep going lower and lower more  
21 like a one-hit cancer theory. The data in terms of  
22 those kinds of regressions is much, much better than  
23 anything we have with chlorpyrifos.

24 **DR. WILLIAM POPENDORF:** But did they



1 pick an IQ, you know a change in IQ level to say this  
2 is where we're going to set that lead number? I mean,  
3 if we don't know we should probably, you know.

4 **DR. DANELLE LOBDELL:** At this point in  
5 time, I don't know. They're in the process of  
6 actually reevaluating that right now and so to really  
7 comment -- I don't know what they're doing. I have  
8 not been involved in any of that but we can't go  
9 there.

10 **DR. WILLIAM POPENDORF:** Well yeah, we  
11 shouldn't go there, but look to what the last, you  
12 know, the current standard is, the criteria, and that  
13 would be worth comparison I suppose.

14 **DR. GINGER MOSER:** Just to point out  
15 that I believe it was the lead studies a long time ago  
16 when they started looking at these small IQ shifts.  
17 When they started looking at how that affects the  
18 normal distribution and the decrease in the high end  
19 and how much more you get in the lower end. With just  
20 a very small shift in the average IQs you greatly  
21 increase the number of people at the lower IQs which  
22 increases, you know just a lot resource values,  
23 economic kinds of things that they can get into and I  
24 think it was the lead data where they first started

1 looking at just like a one percent change can really  
2 make a big change in the population and the  
3 consequences of having just that slight shift in IQ  
4 change.

5 **DR. JAMES MCMANAMAN:** Okay Dr. Funk.

6 **DR. WILLIAM FUNK:** I just wanted to  
7 know with lead, the new standards for lead from the  
8 CDC are based not on health affects but rather with  
9 the assumption that there is there is no safe level.  
10 The new level for the CDC is set just to protect the  
11 most highly exposed children so it's based off of the  
12 95 percentile NHANES data. So it's based off of  
13 exposure not based on health affect.

14 **DR. JAMES MCMANAMAN:** I have a question  
15 for Dr. Moser or maybe for the entire agency. We were  
16 looking at the modeling data for levels. Maybe I  
17 missed this, but has anyone actually done an  
18 experiment to show experimentally how the levels of  
19 chlorpyrifos change over time with multiple injections  
20 in animals?

21 **DR. GINGER MOSER:** As they've been  
22 developing the PBPK model they have been using, they  
23 mostly meaning Chuck Timchalk's (phonetic) group, they  
24 have used animal data to help validate their model and

1 they've used animal data from sub-chronic feeding  
2 studies, year-long feeding studies, and it worked very  
3 well to predict those levels. They've also -- I know  
4 that because they used my data. They've also used  
5 data looking at the different life stages. So they've  
6 used data from animals at PND 10, 17, 21 to see how  
7 the model predicts the cholinesterase inhibition at  
8 different ages. So yes it has been looked at, all the  
9 different kinds of aspect that you want to look. But  
10 again it's the cholinesterase inhibition that it's  
11 modeling and that's been used to validate it.

12 **DR. JAMES MCMANAMAN:** But in the PBPK  
13 and the animal studies they were actually measuring  
14 chlorpyrifos over our metabolite right? Or were they  
15 just looking at cholinesterase inhibition?

16 **DR. GINGER MOSER:** Cholinesterase  
17 inhibition. Well, mostly in the dietary study we did,  
18 we did look at chlorpyrifos and oxon, and I would  
19 imagine they probably used those data too.

20 **DR. JAMES MCMANAMAN:** Okay, another  
21 follow-up question on this. Has anyone measured the  
22 levels in the brain?

23 **DR. GINGER MOSER:** Yes. Cholinesterase  
24 in the brain --

1 DR. JAMES MCMANAMAN: No, chlorpyrifos  
2 in the brain.

3 DR. GINGER MOSER: -- and in the oxon -  
4 - in the levels of chlorpyrifos and chlorpyrifos-oxon,  
5 we looked at it in the blood and the brain and then  
6 fetal tissue. Part of the problem is that the oxon is  
7 very reactive, and it's very difficult to measure  
8 because it just clumps onto everything.

9 DR. JAMES MCMANAMAN: I guess where I  
10 was heading with this is that there was statement made  
11 that it likes to go into fats, so does it like to go  
12 into the fat in the brain? And is it more stable  
13 there? And would the levels come to the point where  
14 it could become a mode of action? You know, we're not  
15 talking about picomolar now we're up to nanomolar  
16 because of accumulation in the brain.

17 DR. GINGER MOSER: I'm not sure if he  
18 looked at that, but we can maybe go back and pull up  
19 some of those papers and find out if he's published  
20 it, which I assume he has. I'm not sure exactly. I  
21 was doing some checking, to get back to the issue of  
22 the concentrations and how low that really is. The 6  
23 pg/g turns out to be 17 picomolar, which is extremely  
24 low, yes. But there are in vitro studies that have

1 looked at chlorpyrifos and the oxon, and some of them  
2 have used very, very low concentrations. And there is  
3 one that I remember right off-hand and happens to be  
4 coauthored by someone sitting right next to you; they  
5 used --

6 **DR. JAMES MCMANAMAN:** Don't trust it  
7 then.

8 **DR. GINGER MOSER:** They used a  
9 concentration as low as .001 nanomolar. So you're  
10 talking 1000 picomolar, and they were getting effects  
11 on axonal length grown in cell culture. You don't  
12 know how that's going to extrapolate exactly to in  
13 vivo, but we are still talking about some fairly low  
14 concentrations. They did not even get a concentration  
15 that was not affective because they didn't go any  
16 lower than that.

17 **UNIDENTIFIED:** Thanks for bringing that  
18 up, but I can comment on that because I actually have  
19 -- so there's two -- I was going to save this for my  
20 written comments, but there's two issues I was  
21 thinking about when you brought that up. And one is  
22 we are talking about potential events during the  
23 developing nervous system that could have not  
24 necessarily accumulative effects but sequelae from

1 that event that could alter the development of the  
2 nervous system that could end up in some aberrant  
3 phenotype.

4 Now, the other issue is that for more  
5 acute interactions you were talking about, and I think  
6 we've talked about this before, we've shown and  
7 published that we had interactions with transcription  
8 factors and the EC50s were in the femtomolar range.  
9 So it's not unheard of, but it's, you know, it  
10 certainly isn't a sure thing that those kinds of  
11 levels would have significant effects.

12 **DR. JAMES MCMANAMAN:** All right, other  
13 questions about this presentation? Okay, well, then  
14 we had a response related to the question about the  
15 analytical - the quantitation questions, and those  
16 were nice, very well-thought answers. Unfortunately,  
17 it didn't address the question. So we're going to go  
18 back with some clarification questions. I'll read  
19 these in. We've handed out Xerox copies of these.  
20 They will be made available in the public docket so  
21 that every will have them. But for the time being,  
22 I'm just going to read them in so that it's on this  
23 record.

24 Question was what was the relationship

1 between LOD and LOQ? So lowest level of detection  
2 versus lowest level of quantitation.

3 Number two is were recoveries always  
4 as low as only 18 percent? The Perez paper has  
5 recoveries at 77 percent. Ninety or 70?

6 **UNIDENTIFIED:** Seventy percent. The  
7 recoveries in that other paper were 70 percent. That  
8 makes a difference on your level detection. If your  
9 level of detection is one, it would go up to four if  
10 your recovery was four-fold higher.

11 **DR. JAMES MCMANAMAN:** The third  
12 question is how were samples quantified when only LOD  
13 was provided and no calibration was given?

14 And number four, samples below the LOQ,  
15 were they further concentrated? It appears that they  
16 were concentrated by about 400 fold in the Barr 2002  
17 paper.

18 So those are the additional questions  
19 that we'd like to get responses to.

20 **DR. ANNA LOWIT:** Thank you for those,  
21 and we're in the process of having someone upstairs  
22 type them out in the way we did over lunch; and we  
23 have already alerted Dr. Barr that more questions were  
24 coming. So as soon as we get the typed-up versions,

1 we'll send them to her. Obviously, we won't have  
2 responses by the end of today.

3 **DR. JAMES MCMANAMAN:** So what we'll do  
4 is that once we get those responses, we'll take some  
5 time tomorrow morning to hear those responses and get  
6 any further clarification questions to the agency  
7 before we move to the public comments. So with that,  
8 we'll call it a day today and reconvene tomorrow at  
9 nine.

10 **MR. JENKINS:** I got a quick note. So  
11 tomorrow, we'll go into the comment period. Important  
12 points for the public commenters tomorrow: First,  
13 it's very important that all the public commenters are  
14 here during the public comment period. Once the  
15 public comment period closes, there will be no more  
16 opportunity to provide your public comments, so we  
17 want you to get an opportunity to provide your public  
18 comments. We ask that all public commenters please  
19 remain to your agreed-upon time frame. It's very  
20 important that we stick to the schedule for this  
21 meeting to get accomplished all that we need to get  
22 accomplished. There is a list outside of this door on  
23 that desk outside for all those who have made  
24 arrangements to give oral public comments tomorrow.



1 Please take a look at that list just to make sure that  
2 you're on it. If you're not on the list and you think  
3 you should be on it, please let me know or someone  
4 else on the SAP staff. Also, if you want to provide  
5 public comments or public comments for tomorrow,  
6 please let me know as soon as possible or someone else  
7 on our staff. And as a reminder, you'll be limited to  
8 a five-minute time frame since you didn't make prior  
9 arrangements to provide your public comments. Thank  
10 you all for a very productive day, and see you all in  
11 the morning. Have a great evening.

12 **(Whereupon, the meeting was adjourned for the**  
13 **day)**

**DAY 2 - April 20, 2016**

1                   **DR. JAMES MCMANAMAN:** Good morning  
2 everyone and welcome back. We'll have everyone  
3 introduce themselves for this day's event. I'm Jim  
4 McManaman, I am the session chair. I'm a professor at  
5 the University of Colorado.

6                   **DR. DAVID JETT:** And I'm Dave Jett. I  
7 am the Director of Countermeasures Against Chemical  
8 Threats at the National Institutes of Health.

9                   **DR. MARION EHRICH:** Marion Ehrich,  
10 Improvement Panel Member from Virginia Tech, College  
11 of Veterinary Medicine, Toxicology Diagnostic  
12 Laboratory.

13                   **DR. SONYA SOBRIAN:** Good morning. I'm  
14 Sonya Sobrian from the Howard University College of  
15 Medicine, Department of Pharmacology.

16                   **DR. ALVIN TERRY:** Alvin Terry. I'm the  
17 Chair of Pharmacology and Toxicology at Augusta  
18 University.

19                   **DR. LISA SWEENEY:** Lisa Sweeney, Henry  
20 Mt. Jackson Foundation at Detail at the Naval Medical  
21 Research Unit, Dayton, ad hoc member.

22                   **DR. SHARON SAGIV:** I'm Sharon Sagiv,  
23 Division of Epidemiology at U.C. Berkeley.

1                   **DR. DIANE ROHLMAN:** Diane Rohlman,  
2                   Department of Occupational and Environmental Health,  
3                   University of Iowa.

4                   **DR. WILLIAM POPENDORF:** Will Popendorf,  
5                   Emeritus Professor of Industrial Hygiene, Utah State  
6                   University.

7                   **DR. ISAAC PESSAH:** Isaac Pessah, a  
8                   Professor of Toxicology, University of California,  
9                   Davis.

10                  **DR. STELLA KOUTROS:** Stella Koutros,  
11                  the Division of Cancer Epidemiology and Genetics of  
12                  the National Cancer Institute.

13                  **DR. WILLIAM HAYTON:** William Hayton,  
14                  Emeritus Professor of Pharmacy, Ohio State University,  
15                  ad hoc member.

16                  **DR. WILLIAM FUNK:** Bill Funk,  
17                  Northwestern University, Department of Preventive  
18                  Medicine.

19                  **DR. JEFFREY FISHER:** Jeff Fisher, FDA,  
20                  NCTR Division of Biochemical Toxicology.

21                  **DR. RUSSELL CARR:** Russell Carr, Center  
22                  for Environmental Health Sciences, College of  
23                  Veterinary Medicine, Mississippi State University.

24

1                   **DR. JAMES MCMANAMAN:** Okay. Thank you.  
2                   So I've been reminded to ask everyone to speak a  
3                   little closer to the microphones, because they're  
4                   having a hard time picking up our voices for the  
5                   recordings. Usually, these microphones are quite  
6                   good. But I think we still have to get a little  
7                   closer. So we left -- yes?

8                   **DR. PANOS GEORGOPOULOS:** Yes.  
9                   Professor Panos Georgopoulos also on the call.

10                  **DR. JAMES MCMANAMAN:** Okay. So that  
11                  was what I was just reminded to say is that Dr.  
12                  Georgopoulos was going to be calling in and he is  
13                  here. Thank you. Welcome, Dr. Georgopoulos.

14                  So we left yesterday with -- the agency  
15                  was going to get back with the principal investigator  
16                  about the analytical question. They did, and she  
17                  responded. And that information has been entered into  
18                  the docket and provided to the panel members.

19                  So at this point, I think what I'll do  
20                  is ask the panel if they have any further questions  
21                  for the agency related to yesterday's presentation.  
22                  And if not, we will then go on to the public  
23                  commenters. Okay. Hearing none then, thank you for  
24                  your presentations, and we'll move on to their public

1 commenters.

2 So, first up is Dow AgroSciences.  
3 Welcome, and please introduce yourselves.

4 **DR. DALAND JUBERG:** Yes. Can you hear  
5 me? This is Daland Juberg with Dow AgroSciences.

6 **DR. CAROL BURNS:** Carol Burns, The Dow  
7 Chemical Company.

8 **DR. JEFFREY DRIVER:** Good morning,  
9 Jeffrey Driver with Risk Sciences.

10 **DR. WILLIAM BANNER:** Hi. I'm Bill  
11 Banner from INTEGRIS Baptist Medical Center, Oklahoma.

12 **DR. DALAND JUBERG:** And while Dr.  
13 Jenkins is loading that, let me say on behalf of Dow  
14 AgroSciences, I'd like to thank Dr. Jenkins, Dr.  
15 McManaman, members of the Scientific Advisory Panel  
16 for giving us the opportunity to speak before you  
17 today.

18 The booklet that you have in front of  
19 you contains two important sets of documents: One, all  
20 the oral presentations that you'll be seeing; and  
21 secondly, written submitted comments from Dow  
22 AgroSciences and from external scientists that are in  
23 attendance here today.

24 In particular, for those of you that

1 are addressing charge questions, I would note that the  
2 Dow AgroSciences comments in response contains  
3 responses to numerous questions, and that is in Tab 6.  
4 For anyone looking at Question 2, Dr. Driver will be  
5 addressing some of those questions in Tab 7. And Dr.  
6 Goodman, excuse me, she addressed Questions 2A, 5A and  
7 5B in Tab 10.

8 The order of the presenters today will  
9 be as follows: I'll offer some opening comments. I'm  
10 a global leader, Human Health Assessment for Dow  
11 AgroSciences and also been the chief toxicologist for  
12 chlorpyrifos for Dow AgroSciences for the past 10  
13 years; followed by Dr. Jeffrey Driver, a principal  
14 with Risk Sciences; Dr. Carol Burns, Senior  
15 Epidemiologist with The Dow Chemical Company; followed  
16 by Dr. William Banner, who's the medical director for  
17 the Oklahoma Poison Control Center and also attending  
18 physician for Baptist INTEGRIS Medical Center.

19 Just a bit of personal background, let  
20 me move into my presentation. I have a Master's of  
21 Science Degree in Environmental Health Sciences and a  
22 Ph.D. in toxicology, both from the University of  
23 Michigan. I'm a fellow of the Academy of  
24 Toxicological Sciences, and I have 24 years of

1 applied, regulatory toxicology experience with a  
2 number of different firms.

3 So today, there are some important  
4 concepts that we'll discuss. One, the EPA proposal to  
5 change the point of departure assumes that the  
6 Columbia data are the best available data. Yet, this  
7 could trigger elimination of chlorpyrifos, and I don't  
8 know if that's been clearly articulated in yesterday's  
9 discussion.

10 As Dr. Jack Housenger said, "This is a  
11 very important insecticide," and I quote him. I  
12 would, again, articulate the decision and the actions  
13 before this panel could trigger the elimination of  
14 this insecticide.

15 This is both an unprecedented, and I  
16 would say precedent-setting process that jeopardizes  
17 the established science-based regulatory process.  
18 Again, Dr. Housenger yesterday quoted and said, "This  
19 is a groundbreaking approach."

20 The impact goes beyond the discussion  
21 of chlorpyrifos before this SAP. In fact, 40 major  
22 agricultural organizations have joined together to  
23 express their concern. And before the SAP Charge  
24 Questions are addressed, there are some basic

1 assumptions and, I would point out, reliability of  
2 data, that need to be vetted.

3 In a recent communication back from EPA  
4 leadership, two registrants, it was noted that this  
5 SAP can consider reliability of relevant data. And we  
6 consider this to be an integral part of the SAP's  
7 assessment.

8 So I have four points I'd like to cover  
9 today: One, the current point of departure involving  
10 red blood cell cholinesterase inhibition. I'd like to  
11 raise to the panel's attention, Dow AgroSciences  
12 studies and some of our past reviews over the past  
13 eight years that I don't think are well recognized.

14 In addition, I'd like to bring forward  
15 some independent reviews that have been published in  
16 the peer review literature that address many and have  
17 formed many of the questions before the panel, and  
18 currently speak briefly on the current PoD proposal.

19 So just two quick quotes from the 2012  
20 Scientific Advisory Panel Conclusions on RBC ChEI as a  
21 PoD: "AChE data," that is acetylcholinesterase,  
22 "continued to be the strongest resource of data for  
23 deriving points of departure for chlorpyrifos."

24 Secondly, "this panel advises that the



1 Agency continue to use acetylcholinesterase data at  
2 the most sensitive life stages for dose-response  
3 analysis and for deriving points of departure.”

4 I'd like to augment those 2012 panel  
5 reviews with a more than 45-year history involving  
6 global regulatory bodies involving such as the World  
7 Health Organization; Canadian authorities, beginning  
8 in 1969; Australia authorities; and the European Food  
9 Safety Authority, just recently in 2014. I will not  
10 read these. But you'll notice in a couple of the  
11 middle quotes, they talk about being the most  
12 sensitive indicator of toxicity, the most sensitive  
13 toxicological endpoint for chlorpyrifos.

14 So I think this demonstrates that  
15 globally, all other regulatory bodies are still  
16 adhering to RBC ChEI as a point of departure. And I  
17 would remind the panel that red blood cell  
18 cholinesterase inhibition is not the biological  
19 target. It would be brain cholinesterase inhibition.

20 But to augment that last statement, the  
21 EFSA Review in 2014 did, in fact, look at some of the  
22 epidemiological evidence and concluded that the  
23 epidemiology data are not sufficiently robust to  
24 support the hypothesis that chlorpyrifos is a causal

1 factor for neurodevelopmental effects.

2 Let me move on now to some of Dow  
3 AgroSciences', the acronym being DAS, Science and  
4 Registration Review. We are the primary registrant  
5 that has supported EPA re-registration and  
6 registration review. And all of our raw data are  
7 submitted to the EPA, both over the last eight years  
8 of the registration review and over the course of  
9 history of this molecule.

10 We take extra efforts and sometimes go  
11 beyond required agency requests for scientific rigor  
12 and clarification. And I would point out three facets  
13 to this: We sometimes do studies that are not  
14 required. We very frequently augment study designs as  
15 we did in the 2010 Comparative Cholinesterase Assay in  
16 which we employ various dosing regimens. We often tax  
17 both the high and the low-dose regimen. We are  
18 required by law to define a no-observed adverse effect  
19 level. So we do exploit and explore the low end of  
20 the dose range. We did this in the CCH study.  
21 Conversely, we did this recently with some inhalation  
22 studies in which we saturated atmospheres with both  
23 chlorpyrifos and oxones. So I'd like to point out  
24 that we do take our science seriously and employ

1 rigorous methods when we do that science.

2 When we don't have the internal  
3 expertise or when we want to get external guidance, we  
4 often go out to external panels, and bullet three here  
5 speaks to that. We have consistently, over the years,  
6 engaged external panels.

7 Beginning in 1991, the Clegg and van  
8 Gemert panel specifically brought together both Dow  
9 AgroSciences and EPA to look at neurobehavioral  
10 effects. We followed those with some sentinel and  
11 serious reviews, and you can see those up there. So  
12 we're not opposed to looking and getting external  
13 advice when we need to.

14 Finally, 40 years plus of science  
15 continues to support red blood cell cholinesterase as  
16 the biologically-relevant and protective point of  
17 departure.

18 What this slide demonstrates and what  
19 I'd like to point out is that all of these are  
20 submissions from DAS to the U.S. EPA over the last  
21 eight years. And the significance of these is that  
22 they contain a number of pieces of information that I  
23 think would inform questions before the panel today.

24 We would note on the bottom that,

1 somewhat discouragingly, the EPA has not responded to  
2 these or other stakeholder comments. So we take time  
3 and effort to put together thoughtful comments. And I  
4 would like, you know, the panel to understand that  
5 these are available for this panel's review.

6 In addition, what's before you on this  
7 slide are what I would consider expert reviews on  
8 animal/human data and neurodevelopment. These are not  
9 insignificant. These are robust reviews, starting  
10 with Eaton et al. in 2008, an independent review done  
11 on -- basically, a scientific advisory panel of its  
12 own, looking at chlorpyrifos and neurodevelopment.  
13 You can see these have all been published in various  
14 scientific journals involving very different author  
15 sets.

16 So importantly, I think my point of  
17 this slide is that these inform specifically on two  
18 things: One, whether red blood cell cholinesterase  
19 inhibition has protected the neurodevelopmental  
20 effects; and secondly, the use of epidemiology and  
21 risk assessment. And all of these reports do weigh in  
22 on those two questions.

23 To bring just one conclusion of one of  
24 these reviews, Prueitt et al. concluded that a causal

1 association between chlorpyrifos exposure and  
2 neurodevelopmental effects in the absence of  
3 acetylcholinesterase inhibition in the brain is not  
4 plausible in humans.

5 So what we have before us today is a  
6 proposed paradigm shift, one being from 40-plus years  
7 of data to one involving a single-point measurement  
8 from one study. DAS is not aware of any significant  
9 new data that supports abruptly moving away from the  
10 red blood cell cholinesterase point of departure.

11 In fact, we've been on an eight-year  
12 journey with the EPA refining this point of departure  
13 and specifically looking at cholinesterase inhibition  
14 in tissues such as the lung, such as the GI tract.  
15 And now we have an abrupt shift. So that's a bit  
16 disconcerting.

17 And my point three would follow that a  
18 shift of this magnitude should be accompanied by a  
19 reliability in all contributing scientific information  
20 -- animal, human, and exposure. We believe that the  
21 FQPA, Food Quality Protection Act, requires a high  
22 standard, that being reliable data, when proposing to  
23 revoke tolerances, such as the EPA is asking for,  
24 before you today.

1 Point four, studies for regulatory  
2 decision-making should have a common standard,  
3 including the need for the agency to have access to  
4 underlying raw data. We would note that the Columbia  
5 data have not been made available to the EPA, that is  
6 our understanding, nor to other stakeholder requests.

7 Finally, DAS is very concerned about  
8 the process and lack of time. And you'll see in  
9 parenthesis, we've had 11 business days to do this  
10 work, transparency and scientific rigor that must  
11 underpin objective risk assessment.

12 We would encourage the agency and panel  
13 to deliberate carefully and, if needed, notify the  
14 Ninth Circuit Court that its deadline is impractical  
15 to meet. This is an option to the agency. I don't  
16 know if that's been made aware to the panel. But by  
17 June 30<sup>th</sup>, that can be made. That can be extended. So  
18 we would ask that seriously be considered.

19 I'd like to quote, in closing, before I  
20 turn it over to Dr. Driver, two former quotes -- no,  
21 current quotes from two former OPP or U.S. EPA  
22 leaders, the first from Dr. Debra Edwards, in 2013;  
23 and I would note that her full report is in Tab 11. I  
24 would encourage you to look at this. She quotes, "The

1       totality of problems relating to the reliability of  
2       the reported findings on the Columbia cohort renders  
3       the study inappropriate for risk assessment.”

4                 Secondly, and a contemporary quote; and  
5       this is in Tab 9, Dr. Rita Schoeny, “It is my opinion  
6       that it is not the best approach for EPA to use as a  
7       PoD for chlorpyrifos a benchmark dose calculated from  
8       the published summary data rather than from the  
9       underlying raw data. I submit this is a major  
10      vulnerability for the agency. I have experienced  
11      first-hand the intense scrutiny to which EPA’s risk-  
12      assessment process is subject, even after many years  
13      after its publication. I caution EPA against moving  
14      forward without the benefit of the raw data.”

15                I would specifically note that Dr.  
16      Schoeny comments fairly extensively on methylmercury  
17      in Tab 9. She had a lot of experience with this in  
18      her former role. And so she contrasts the specificity  
19      and use of data for setting a PoD for chlorpyrifos  
20      with methylmercury, and I encourage you to look at  
21      that.

22                Finally, these are references. I doubt  
23      anyone’s had the time to look at these, but a lot of  
24      submitted comments just over the last two weeks.

1 These are included in the books before you today. And  
2 again, we would hope that some of this material would  
3 be considered.

4 Finally, in summary, four points: In  
5 our view, it is premature to consider a new point of  
6 departure for chlorpyrifos until all issues regarding  
7 the Columbia epidemiology raw data are resolved and a  
8 thorough, transparent and weight of evidence review is  
9 conducted.

10 We believe that 40-plus years of  
11 science continue to support red blood cell  
12 cholinesterase inhibition as the relevant and  
13 protected point of departure, including protection  
14 against neurodevelopmental effects. No identifiable  
15 and replicated mode of action in either animals or  
16 humans has been demonstrated to support a relationship  
17 between exposure to chlorpyrifos and  
18 neurodevelopmental outcomes at the current regulatory  
19 standard.

20 And finally, numerous, independent  
21 reviews on global regulatory agencies continue to  
22 consistently support RBC cholinesterase inhibition as  
23 a protective point of departure, including protection  
24 against all toxicities, including neurodevelopmental.



1                   So with that, I'll conclude and if  
2 there are questions, I'd take those. If not, I would  
3 turn it to Dr. Driver at this point.

4                   **DR. JAMES MCMANAMAN:** Thank you. Any  
5 questions from the panel? Dr. Pependorf.

6                   **DR. WILLIAM POPENDORF:** Yes. Two  
7 questions and clarification. On your references, page  
8 16 or Slide 16, perhaps, page 16 in the book. Since I  
9 haven't looked at any of those, was Dow involved in  
10 any of those studies financially or in any other way?

11                   **DR. DALAND JUBERG:** Dr. Pependorf, yes,  
12 we supported the reviews of all of these.

13                   **DR. WILLIAM POPENDORF:** Second  
14 question, on a few slides later, that your statement  
15 about studies to regulatory decision-making, the  
16 Agency should have access to the underlying data. Is  
17 that an opinion or...

18                   **DR. DALAND JUBERG:** Well, in fact,  
19 under FIFRA and under GLP and guideline studies, we  
20 have to do studies. We submit all the data to the  
21 U.S. EPA. These are guideline toxicological studies.  
22 We have no -- we can, with the agency's concurrence,  
23 maybe modify a design, but we can't do that on our own  
24 accord. So yes, everything is submitted. So my point

1 is I think human data, if they're going to be  
2 considered in this relevance, we ought to set an  
3 approach under your common standard for submission of  
4 data.

5 **DR. WILLIAM POPENDORF:** Okay.

6 **DR. JAMES MCMANAMAN:** Other questions?

7 Does Dow not recognize that there may be other modes  
8 of action of chlorpyrifos than through cholinesterase  
9 inhibition or is -- that's the only mode of action?

10 **DR. DALAND JUBERG:** There have been  
11 many purported non-cholinergic modes of action. We're  
12 well aware of those. In fact, the Lee et al. review  
13 looked at some of those. The 2012 SAP reviewed some  
14 of those. The agency concurred that there's no  
15 identifiable current mode of action. There are many,  
16 many, hundreds, if not thousands, of in vitro, in vivo  
17 studies that have looked at those. Importantly, many  
18 of them conclude that if you're protecting against  
19 cholinesterase inhibition, you're also protecting  
20 against effects that are purported and show in some of  
21 those studies. So that's why the realign and  
22 consistent return to RBC ChEI as a protective point of  
23 departure. But no, we're well aware that there are  
24 many. And you know, we support the agency and former

1 SAP panels in encouraging investigators to keep  
2 looking. Let's build some of those in vitro data into  
3 an in vivo animal model, and let's try to build this  
4 up and see then if we can tease out and test some of  
5 this.

6 **DR. JAMES MCMANAMAN:** Okay. Thank you.  
7 The question was from Dr. McManaman. The answerer is  
8 Dr. Juberg.

9 **DR. DALAN JUBERG:** Thank you.

10 **DR. JAMES MCMANAMAN:** We have another  
11 question. Dr. Sagiv.

12 **DR. SHARON SAGIV:** Sharon Sagiv from  
13 U.C. Berkeley. Does Dow Chemical recognize  
14 epidemiologic studies as a way of looking at  
15 neurotoxic effects of these chemicals?

16 **DR. DALAND JUBERG:** Yes, Dr. Sagiv.  
17 And I think I'll let Dr. Burns, when that comes up,  
18 refer to that. But that's, in fact, why we had Dr.  
19 Mink, Dr. Prueitt look at some of the epidemiological  
20 evidence. I mean, we take these very seriously. So  
21 yes, we're firm believers that both animal and human  
22 data can inform and contribute. But it's a weight-of-  
23 evidence approach and we need to look at, at the end  
24 of the day, let's bring the data forward and see what

1 we can do to wrestle and tease out tough issues.

2 **DR. JAMES MCMANAMAN:** Dr. Juberg again.  
3 Other questions from the panel? Okay. Thank you very  
4 much. Please identify yourself before you begin.

5 **DR. JEFFREY DRIVER:** Good morning.  
6 Jeffrey Driver. I'm a consultant with Risk Sciences.  
7 I appreciate the opportunity to provide comments to  
8 the panel and to EPA colleagues. I'm a toxicologist  
9 by training, but have spent 20-plus years focusing on  
10 exposure monitoring and modeling as well as  
11 quantitative risk analyses. I've been involved in a  
12 number of research and development programs developing  
13 data specifically aimed at meeting data requirements  
14 on behalf of pesticide registrants, industry task  
15 forces, as well as public sector research programs.

16 I began my career at NIH, then EPA, and  
17 then became a consultant. I've also enjoyed some  
18 academic appointments, and I've submitted detailed  
19 comments, which are also in the folder in front of  
20 you. So we appreciate your opportunity to read those.  
21 I realize the panelists have to develop speed-reading  
22 skills to get through all the materials provided to  
23 you.

24 So just as a matter of preface to my

1 more specific comments about chlorpyrifos. As we all  
2 recognize, the use of reliable data that meet EPA  
3 requirements and quality criteria are fundamental to  
4 risk management decision-making under FIFRA. So given  
5 that preface, we also fully appreciate that research  
6 studies, discovery science and related publications,  
7 while important to hypothesis testing, supporting  
8 weight of evidence analyses, many different purposes,  
9 are very important, but not specifically or  
10 intentionally developed to satisfy those EPA  
11 requirements.

12 So before the panel, EPA is asking, and  
13 I'm speaking specifically to you, by the way of Charge  
14 Question Number 2, is asking the panel to really look  
15 at and certainly is associated with the blood data and  
16 their use in quantitative risk analysis. This is in  
17 the absence of having the underlying raw data or being  
18 able to evaluate that issue in the associated detail,  
19 which you could do, with those data being in your  
20 possession.

21 Basic assumptions, such as a slope of  
22 the dose-response curve, goodness of fit and other  
23 statistical inferences cannot be confirmed nor refuted  
24 in the absence of that information.

1                   Let's explore this a little further.  
2                   So this is the figure, of course, from Rauh et al.,  
3                   2011 log, Working Memory composite score versus  
4                   chlorpyrifos concentrations in pg/g. Just a visual  
5                   inspection of the graph would suggest that, obviously,  
6                   clusters of data spatially could influence the slope  
7                   of the curve.

8                   I noted at the top that data are not  
9                   provided for concentrations greater than 23 pg/g.  
10                  There is a letter from Dr. Whyatt explaining the  
11                  rationale for this to the EPA. I don't know if the  
12                  panel has seen that letter. Basically, the officers  
13                  have admitted four subjects higher than 23 or 25 pg/g  
14                  because of the influence they had on the slope and the  
15                  corresponding statistical fit, goodness of fit that  
16                  they were trying to achieve. So while that's not  
17                  wrong, per se, the investigators do not provide this  
18                  information in their methods or discussion.

19                  I think it suggests the association of  
20                  IQ and chlorpyrifos is somewhat tenuous, and the slope  
21                  is not sufficiently robust, in my view, to support the  
22                  derivation of a PoD. I'll talk more about that later.  
23                  So I think that it's important to recognize that this  
24                  just speaks to the need for more transparency and

1 careful deliberation.

2 Other examples, the top bullets, as we  
3 know, chlorpyrifos and cord blood appears to be highly  
4 variable. There's some inconsistencies within the  
5 publication itself. I'm sure the authors could  
6 provide some explanation. The mean standard  
7 deviations are listed, you know, differently on pages  
8 1197 and 1198. The max values are also differently  
9 expressed. Could be explanations for this, but again,  
10 just speaks to the need for more clarification.

11 The bottom bullet, a significant  
12 concern that we've discussed is the recovery of the  
13 method. I know that Columbia is suggesting because  
14 they had isotopically labeled internal standards at a  
15 recovery of 21 percent is acceptable. But in general,  
16 that might be true, but the CV is very high at 67  
17 percent and the recovery was at 32 pg/g, which is  
18 about 30 times the stated LOD.

19 Most importantly, no laboratory has  
20 independently validated recovery with spiked  
21 chlorpyrifos samples and the plasma matrix below 15.  
22 I'll talk more about that.

23 Another area of concern that really  
24 presents, in my view, a significant limitation. As

1 EPA noted, since informational frequency and magnitude  
2 of application of the actual pesticide application  
3 events in the residences of the cohort is unknown. So  
4 there's uncertainty to the degree to which the monthly  
5 application period assumption is accurate.

6 I've been involved in two national  
7 surveys where homes kept pesticide application records  
8 diligently for an entire year. Those data are  
9 available to EPA. They are certainly ways to infer  
10 the variability and application schemes for both  
11 consumer products and professional pesticide products.

12 The source of this uncertainty is  
13 important to our limitations, and it's important to  
14 consider because of the sensitivity of just the  
15 frequency itself. So these two figures will explore  
16 that.

17 So to illustrate the impact, the top  
18 figure is essentially what EPA did. If we assume, as  
19 they did, a simplistic monthly repeat application  
20 continues to happen with chlorpyrifos-based product,  
21 you would see a blood time, of course, as shown on the  
22 top figure.

23 Now just with one modification of the  
24 application schedule, which would be as shown on the



1 bottom figure, no application during the ninth month.  
2 So you would have the results that are shown. So you  
3 don't really see a significant difference in the  
4 predicted blood levels across the nine-month period,  
5 the average, 130 versus 145. However, the single-  
6 blood spot concentration measurements had collected 24  
7 hours after hospital administration are vastly  
8 different from these two scenarios -- 10 versus 0.3.

9 So what's the impact of this? Well,  
10 this very possible scenario, of a single missed  
11 pesticide application would really create a 30-fold  
12 difference in these measured levels and a result in a  
13 different categorization of low versus high exposure.  
14 So this could have a significant misclassification  
15 impact. So the exploration of this sensitivity to  
16 this variable is something that certainly can be  
17 accomplished and should be explored for that.

18 The analytical methods used for  
19 chlorpyrifos determination was insufficiently  
20 validated, in my view. There are beyond the plasma  
21 spikes at concentrations below 15, there are a number  
22 of reasons here as mentioned. Verification that  
23 samples were not contaminated during collection,  
24 transport, storage, so no field travel spikes to my

1 knowledge, no data verifying stability in plasma  
2 during storage. That needs to be further, at least,  
3 provided. No data on method accuracy at the stated  
4 level of the LOQ in plasma. No independent validation  
5 of the analytical method at another laboratory.

6 I appreciate Dr. Barr's efforts, of  
7 course, in the last couple of days to providing  
8 explanations, but I'm not sure we still have enough  
9 information to establish the reliability of the data.

10 So to further explore this, this shows  
11 a comparison of the reported blood concentrations from  
12 the cohort by tertile. And I wanted to point out that  
13 each -- there are mixed birth years in these  
14 categories, versus the validated limit of  
15 quantitation.

16 The validated analytical method, as  
17 published in Whyatt 2004/2006, actually the lowest  
18 validated value is 15 pg/g. The method, of course, is  
19 at 1. So you can see that, in fact, most of the  
20 tertile data are below, of course, the currently  
21 validated value of 15.

22 Impact of this, you can see with the  
23 blue bar and then to the left of the graph, anything  
24 basically below 15 would be questionable, were less

1 than the LOQ or non-detect and as far as we can tell  
2 from validated methods at this point. The impact on  
3 the slope then would be unknown. We're dealing with  
4 largely a censored dataset. I think this is important  
5 for the panel to seriously consider.

6 Application of EPA's guidelines with  
7 respect to review of these data, let's just be clear  
8 about this: If a registrant or an industry task force  
9 were to submit data with the characteristics that I  
10 just described, it would be deemed unacceptable.

11 EPA typically does not allow the use of  
12 summary data. There needs to be very compelling  
13 reasons to do so. It might be useful for guidance or  
14 confirmatory confirmation, comparisons. But to  
15 establish a data requirement under 40CFR, that would  
16 not be acceptable. Preference, of course, would be  
17 for good laboratory practice based data or minimally,  
18 GLP-like conditions. And there's documentation that,  
19 of course, that needs to provide that categorization.

20 The analytical method does not meet  
21 EPA's criteria in terms of reproducibility, accuracy,  
22 or reporting. Independent laboratory validation is an  
23 issue, as I've pointed out. Requirements for data  
24 reporting have not been met. You know, several

1 publications, we're trying to piece together the  
2 picture. But without the raw data and other related  
3 information, it's difficult to really verify and  
4 confirm. And I think this is an important enough  
5 decision that EPA is facing, and that needs to be  
6 taken care of. It certainly can be remedied or it  
7 should be.

8 Okay, just to recap with a few  
9 conclusions, EPA is proposing, as you know, to make a  
10 fundamental change to the PoD, and this is based on  
11 extrapolation from summary data in a single  
12 publication.

13 EPA cannot provide the actual data on  
14 individual blood levels or response data.

15 The summary data, as presented in the  
16 publication, has some inconsistencies that need to be  
17 resolved.

18 The analytical method has not yet, to  
19 my knowledge, been validated for serum samples at the  
20 concentrations relevant to the Columbia cohort  
21 measurements by an independent laboratory, and that  
22 needs to happen. Even if the raw data become  
23 available, the analytical results are not reliable, in  
24 my view.

1           As a result of these deficiencies, the  
2 Columbia cohort should not be the basis for the PoD as  
3 of yet. The purpose of the proposed PoD process  
4 really is a very -- that metric, in and of itself, the  
5 PoD, really has to have a lot of data to underlay.  
6 It's been carefully peer reviewed by EPA and external  
7 stakeholders. So this represents a fundamental  
8 change, and I think this is important for the panel to  
9 appreciate that. Thank you very much. Any questions?

10           **DR. JAMES MCMANAMAN:** Thank you, Dr.  
11 Driver. Questions? Yes? Dr. Sagiv.

12           **DR. SHARON SAGIV:** This is Sharon Sagiv  
13 from U.C. Berkeley. I just wanted to add one thing to  
14 your conclusion. If the PoD is based on one study,  
15 which is true, but there is a number of studies that  
16 are from this cohort that are shown. And so, I just  
17 wanted to add that.

18           **DR. JEFFREY DRIVER:** That's just for  
19 clarification. Yes, thank you.

20           **DR. DAVID JETT:** This is Dave Jett,  
21 NIH. You were talking about validation of those lower  
22 levels. What do you mean by validation?

23           **DR. JEFFREY DRIVER:** Spiked plasma  
24 samples, you know, at those lower levels. I'm not

1 aware of any data below 15 where those have been  
2 demonstrated in terms of recovery.

3 **DR. DAVID JETT:** And so, you're talking  
4 about within the Columbia study, those study spike  
5 samples?

6 **DR. JAMES MCMANAMAN:** Yes, Dr. Rohlman.

7 **DR. DIANE ROHLMAN:** Diane Rohlman. So  
8 you talk about misclassification with exposure, and  
9 you showed a figure where you modeled different  
10 exposures. It's on Page 7 in my panel.

11 **DR. JEFFREY DRIVER:** Yes.

12 **DR. DIANE ROHLMAN:** So your assumption  
13 here is that the misclassification that there was no  
14 application in the ninth month would lead to a lower  
15 level than what we see. But conversely, that could  
16 also increase, depending on when the application was  
17 and whether there was over application --

18 **DR. JEFFREY DRIVER:** Correct.

19 **DR. DIANE ROHLMAN:** -- by personal use.

20 **DR. JEFFREY DRIVER:** Absolutely  
21 correct. So I thank you for clarifying. And I think  
22 that it really speaks to the opportunity to explore  
23 the sensitivity of different application patterns,  
24 which we know occur. And there's empirical data

1 available to demonstrate that with both consumers, as  
2 well as professionals.

3 **DR. JAMES MCMANAMAN:** Other questions?  
4 Thank you, Dr. Driver. Oh, sorry. Dr. Popendorf.

5 **DR. WILLIAM POPENDORF:** Yeah, just to  
6 follow up on that question on the same slide, Slide 7.  
7 It's a log scale, and it looks like you're starting at  
8 a high level, so this was -- like, you started from  
9 some equilibrium condition and -- so that's one  
10 question, right?

11 **DR. JEFFREY DRIVER:** Correct. Yeah.

12 **DR. WILLIAM POPENDORF:** And then are  
13 these ranges similar to the ranges that --

14 **DR. JEFFREY DRIVER:** Yes. Yes. In  
15 fact --

16 **DR. WILLIAM POPENDORF:** -- whatever is  
17 used by the agency?

18 **DR. JEFFREY DRIVER:** -- yes, correct.  
19 Thanks for that clarification, as well, Dr. Popendorf.  
20 So that the top figure, which was basically EPA  
21 simulation, it's the same as you'd see in Figure 6A of  
22 the issues paper. So we're sort of starting with  
23 their baseline simulation --

24 **DR. WILLIAM POPENDORF:** Okay.

1 DR. JEFFREY DRIVER: -- of 30-day  
2 interval. So yeah.

3 DR. WILLIAM POPENDORF: And a second  
4 question, just to clarify again on that grid of -- on  
5 number 11, you say the "EPA does not allow the use of  
6 summary data," and you reference a CFR. So again is  
7 that -- what are you really saying here?

8 DR. JEFFREY DRIVER: Well, I think my  
9 intent was that --

10 DR. WILLIAM POPENDORF: Does the CFR  
11 say you can't do that? Is that policy of the agency  
12 or is that an opinion?

13 DR. JEFFREY DRIVER: That's a good  
14 clarifying question. Yeah. Again, Jeffrey Driver  
15 speaking. I think I was speaking to specific data  
16 requirements as they are articulated in 40CFR and the  
17 related documentation.

18 So publications are not -- published  
19 research is typically not used to satisfy data  
20 requirements. Now, can it be used for a variety of  
21 other purposes? Certainly. To inform exposure  
22 factors, as you well know, or for other aspects. But  
23 I was speaking specifically to the data requirements  
24 toxicology studies, for example.



1 DR. JAMES MCMANAMAN: Dr. Sagiv.

2 DR. SHARON SAGIV: Sharon Sagiv, U.C.  
3 Berkeley. I just wanted to make a note. You  
4 mentioned a few sources of exposure misclassification  
5 that occur. And it seems like most of them are non-  
6 differential or random. And often, those kinds of  
7 exposure misclassification lead to a null effect,  
8 rather than an effect, so I wanted to make a note of  
9 that in light of the findings.

10 DR. JEFFREY DRIVER: Very good. Yeah,  
11 I concur.

12 DR. JAMES MCMANAMAN: Other questions,  
13 comments? Dr. Pessah.

14 DR. ISAAC PESSAH: Isaac Pessah, U.C.  
15 Davis. In terms of the profile that you show on Slide  
16 7, does Dow recognize that chlorpyrifos, once it's on  
17 its bioactive -- it's converted to its bioactive, is  
18 irreversible and could lead to summation of effects?

19 DR. JEFFREY DRIVER: Yeah. I'm not  
20 really speaking to the biological target. Correct.  
21 This is more about just trying to follow blood levels,  
22 relative to sampling time for the blood samples.

23 DR. JAMES MCMANAMAN: No questions?  
24 Thank you very much

1                   **DR. DALAND JUBERG:** Dr. Jenkins, if we  
2 could have, I think, number three up? Thank you.

3                   **DR. JAMES MCMANAMAN:** Dr. Burns, before  
4 you begin, could you identify yourself and -- just so  
5 it's on the record?

6                   **DR. CAROL BURNS:** Yes. Absolutely.  
7 This is -- my name is Carol Burns. I'm an  
8 epidemiologist with The Dow Chemical Company. Today I  
9 want to talk about making epidemiology more  
10 consequential. And in the 2015 --

11                   **DR. JAMES MCMANAMAN:** Could you move  
12 your microphone just a little bit closer?

13                   **DR. CAROL BURNS:** Yes. In the 2015  
14 American College of Epidemiology, this was the theme  
15 of the meeting. And I think it resonates well with  
16 today's meeting. And in that meeting, we discussed --  
17 it's not about criticizing epidemiology data, but it's  
18 how can epidemiologists provide better data so that we  
19 can make better decisions for public health and human  
20 health risk assessment.

21                   So as a point of background, I have  
22 degrees in epidemiology from the University of  
23 Michigan and Tulane University School of Public  
24 Health. I've worked at The Dow Chemical Company in

1 that capacity for two decades, and I'm active in the  
2 American College of Epidemiology.

3 In its full disclosure, we grow corn,  
4 soybeans and alfalfa in Hubbardston, Michigan and have  
5 done so since the late 1800s.

6 Today my concept, I'd like to bring up  
7 the concepts, and I've labeled these by the charge  
8 questions so that you can follow along with my logic.  
9 And really, it's these concepts that directly impact  
10 the use of the Columbia study and epidemiology data in  
11 general.

12 So first off, with Charge Question 1,  
13 that maternal blood does not adequately predict fetal  
14 exposure.

15 Neurodevelopment effects reported by  
16 the Columbia study are not sufficiently established as  
17 casually linked to chlorpyrifos.

18 There are limitations and certain  
19 biases in the epidemiology studies that can be better  
20 characterized and quantified.

21 Publication bias of negative results  
22 impedes the use of epidemiology data. And I'd like to  
23 propose some additional charge questions for  
24 discussion.

1                   So before we launch into charge  
2 questions, I think it's relevant to talk about where  
3 we are today with exposure. And to explain briefly,  
4 on the y-axis is the cholinesterase activity. And  
5 because cholinesterase can -- not just be inhibited,  
6 but can go up, if you -- it's a little bit backwards,  
7 if you think about 10 percent inhibition. On this  
8 graph would be at 90 percent.

9                   And we know that the animal studies are  
10 at the far right of the continuum of the research. On  
11 the y-axis is the urinary TCPy or trichloropyridinol  
12 metabolite. So you toxicologists that want to see a  
13 dose, this is -- we're talking about a urinary level.

14                   And as you can see the brain  
15 cholinesterase is to the far right and the red blood  
16 cell acetylcholinesterase is in the middle. And this  
17 is where we look for effects in occupational studies  
18 and applicators. But this is not the focus of our  
19 talk today. We are really focusing at the far left of  
20 the continuum where we see exposures in women and  
21 children in the Huen study from residues of TCPy in  
22 food. And the point of discussion, as well, is other  
23 sources of exposure.

24                   A point of context is that the plasma

1 BuChE inhibition has been used successfully for  
2 decades to evaluate exposure, particularly in  
3 occupational settings. And at Dow, we use that as our  
4 point of action to remove people from exposure.

5 Now the point of departure, as we're  
6 talking about, is at 10 percent RBC inhibition. And  
7 as you see, this line was calculated by the group from  
8 Arnold et al. to calculate a biomonitoring guidance  
9 value, and this translates to about 2100 µg/L of what  
10 you would see in a human biomonitoring study. This  
11 comparably equates to about 6100 ng/L in blood. So as  
12 you were discussing yesterday, the proposed point of  
13 departure would move this line to the y-axis.

14 Well, let's talk about some of the  
15 charge questions. And this gets to the -- it is our  
16 position that maternal blood does not adequately  
17 predict fetal exposure. Some examples from the  
18 Columbia study are that they did collect personal air  
19 samples during pregnancy, but these did not correlate  
20 with either maternal or cord blood.

21 Furthermore, maternal cord blood did  
22 not correlate with urinary TCPy. And there's quite a  
23 bit of missing data in the maternal newborn pairs, and  
24 we see this pretty strikingly in Fig1 where you can

1 see the numbers for each.

2 I would remind the panel that the  
3 CHAMACOS study from the University of California at  
4 Berkeley did collect blood in the infants, as  
5 reflected in the Huen 2012 paper. And in that paper,  
6 neither the maternal or the cord blood were  
7 correlated. Furthermore, their outliers were not in  
8 the mother/newborn pairs.

9 Talking about the degree of a causal  
10 inference from neural development effects as reported  
11 by Columbia, the EPA has recognized that consistency  
12 of observations is important, both within and across  
13 studies. And we heard yesterday them talking about  
14 the reason why we're not talking about fetal outcomes  
15 today is because of this very reason.

16 So I'd like to talk further about  
17 consistency. And in this example, I'd like to talk  
18 just about the Columbia study and internal consistency  
19 about whether we're seeing the same results in the  
20 same study.

21 And this shows you -- this is a short  
22 list of the important risk factors that were presented  
23 in the Columbia study. And you can see that they're  
24 looking at exposure such as chlorpyrifos, but also

1 PAH, phthalates, and environmental tobacco smoke.  
2 They have a range of factors to estimate socioeconomic  
3 status such as income, maternal intelligence,  
4 education, and so forth.

5 In the 2011 Rauh paper, which we've  
6 been discussing at length for this panel meeting, just  
7 some brief explanations. So in the written comments,  
8 the full P values and confidence intervals are  
9 provided. But here, "YES" is to indicate  
10 statistically significant. And so, yes, indeed, the  
11 higher levels of chlorpyrifos were statistically  
12 associated with lower Full-Scale IQ scores.

13 Also statistically significant in this  
14 multi-linear regression model were income, child  
15 gender, and maternal education. "NO" indicates that  
16 other factors were included in the model, but were not  
17 associated with IQ, and these include tobacco smoke,  
18 race, and maternal education -- excuse me --  
19 intelligence.

20 The designation "Not in Model" means  
21 that the investigators looked at the correlations, but  
22 determined that they were not sufficiently significant  
23 to be included in the final model. And these examples  
24 are lead and birth weight.

1                   But importantly, as we discussed the  
2                   role of chlorpyrifos with IQ, it's important to look  
3                   at other publications of the same children in the  
4                   Columbia study, but other topics, and these include  
5                   the poly aromatic hydrocarbon paper of 2009 and the  
6                   phthalates paper in 2014.

7                   In both of these studies,  
8                   interestingly, chlorpyrifos was considered early on,  
9                   but was not sufficiently significant to be included in  
10                  the final model. So this is an example of poor  
11                  internal consistency where chlorpyrifos is not  
12                  significant across the other publications.

13                  Next we look at consistency across  
14                  publications. And again, you have this information in  
15                  your packet. So going across, again, we start with  
16                  the Rauh 2011 paper. And the outcome, of course, is  
17                  chlorpyrifos in blood. And you might think of this as  
18                  a hypothesis generation. The question is, do the  
19                  other studies -- are they sufficient to accept or  
20                  reject that hypothesis? And we've talked at length  
21                  yesterday about the CHAMACOS and the Mt. Sinai  
22                  studies. And here we see that they analyze their  
23                  results with urinary dialkyl phosphates.

24                  And I'd like to pause quickly because



1 yesterday you were explained the difference between  
2 the metabolites. So DEPs, or the dialkyl phosphate,  
3 is a nonspecific metabolite of all the OPs.

4 DEP is a metabolite of 10  
5 organophosphates, which includes chlorpyrifos. And we  
6 see that using DEP, the analysis is not statistically  
7 significant. So logically, you might think that if  
8 the analysis were positive for DEP, you would not be  
9 able to detect the role of chlorpyrifos. But being  
10 negative, you can then think, well, it can't be  
11 chlorpyrifos.

12 I think it's further important to note  
13 that the CHAMACOS and the Mt. Sinai study have data on  
14 urinary TCPy which is much more specific to  
15 chlorpyrifos. But neither of these studies reported  
16 on their TCPy data and IQ.

17 Lastly, I introduced a third study, the  
18 PELAGIE study from France, which also evaluated DEPs  
19 in their children and found no statistically  
20 significant correlations.

21 Moving on to talk about publication  
22 bias, and this falls within sample size. And I think  
23 it's important to note that sample size drives  
24 statistical significance. But statistical

1 significance drives publication. And it's a reality  
2 that statistically significant results are more likely  
3 to be published, and vice versa.

4 We'd like to believe that if we just  
5 had more study subjects, that we would then have  
6 statistical significance. But that's not necessarily  
7 the case, because you don't know what those additional  
8 subjects will show. And there are few guidelines on  
9 publishing epidemiology data.

10 Now in industry, if I get an adverse  
11 result, I have 30 days to submit my results to the  
12 Agency under TSCA. I understood that in genetics  
13 research, you have two years to publish your data,  
14 whether it was positive or negative. For longitudinal  
15 cohort studies such as the Columbia study and others,  
16 as I understand it, there are no guidelines. You can  
17 publish as the investigators wish. And this is  
18 important from a weight of evidence that you need to  
19 know all the evidence in order to make valid  
20 decisions.

21 And my kids, it drives them crazy when  
22 I say, "You don't know what you don't know." And here  
23 we have some examples where we have indications that  
24 are additional data that would be relevant for this

1 discussion. For example, we know that the CHAMACOS  
2 and Mt. Sinai study have more specific exposure data  
3 using urinary TCPy, but that has not been published on  
4 the IQ data.

5 The HOME study is another prospective  
6 cohort study that they published early on fetal  
7 outcome. We had no idea that they had collected more  
8 data until the study appeared in a pooled analysis.  
9 Similarly, we had no idea that the Columbia study had  
10 collected DEP data on their children until those data  
11 appeared in that pool of analysis. So these are just  
12 some examples of transparency crosses the publication  
13 bias.

14 Talking about uncertainty, and this has  
15 a different connotation in epidemiology than it does  
16 in risk assessment. And we can describe the  
17 uncertainty, as opposed to just default. In industry,  
18 we're often criticized for generating doubt. And I  
19 want to be clear today that that's not what we're  
20 trying to do. We're trying to improve the status quo  
21 and generate more information.

22 And in this case, I direct your  
23 attention to the written comments by Goodman and  
24 Loftus, and they really speak to the data that are

1 currently available and new analyses could be done and  
2 published by the investigators themselves, EPA, or a  
3 third party. And this is important for a transparent  
4 weight of evidence evaluation.

5           Some of the activities that could be  
6 done would evaluate the impact of the number of non-  
7 detects, and I think this speaks to Dr. Carr's  
8 comments yesterday about if we're concerned about the  
9 limited detection or the biological number, per se, a  
10 counter approach would be to go by birth year. That  
11 doesn't mean that it's sufficient and the best way to  
12 go, but it's an example of other analyses that you  
13 could do to compare and contrast your conclusions.

14           We've talked about correlations between  
15 maternal and cord blood. Similarly, you could do  
16 additional analyses about how the outcomes change,  
17 whether you're using continuous and categorical.

18           There's been some talk about the  
19 direction of the bias. Often, we are trained that if  
20 the classification is incorrect, it's considered to be  
21 non-differential. That's absolutely true, and the  
22 effect can be to dilute your interpretation of your  
23 odds ratio.

24           However, that isn't always the case,

1 especially if you're moving away from categorical  
2 analyses, and you include additional covariates in a  
3 linear regression. Statistically, then your direction  
4 of your bias or your true value could be bigger or  
5 smaller. So this is just an example of additional  
6 analyses could and maybe should be done before we  
7 consider using epidemiology in risk assessment.

8 In 2000 -- I bring this up as a point  
9 of discussion that in 2012, ILSI/HESI, which is the  
10 Health Environmental Safety Sciences Institute --  
11 excuse me -- how the workshop with 30 epidemiologists  
12 and other scientists talk about not how to integrate  
13 epidemiology into risk assessment, but how do we  
14 reduce that uncertainty. How do we make it better and  
15 stronger?

16 And three points resonated with this  
17 group that I think are important today is that we  
18 should be characterizing uncertainty in our papers and  
19 not just saying it exists; work to improve the  
20 exposure assessment; and use the available analytical  
21 tools to better distinguish associations from causes.

22 So I'd like to propose maybe some  
23 additional charge questions to discuss. First of all,  
24 we've talked a lot about raw data. But I think it's

1 important to not just demand it, but say, well, how  
2 can it be shared? How can we maintain confidentiality  
3 of our study subjects? How do we protect the ability  
4 of the investigators to publish their data and other  
5 concepts such as that, and who has the ability and the  
6 capacity to evaluate those complex data?

7 There are real barriers for  
8 epidemiologists to doing improved exposure assessment  
9 and data analysis. These take time. They're costly,  
10 and that requires money. And so how can those  
11 barriers be removed and solved, so that epidemiology  
12 data are more consequential for discussions like this?

13 And Dr. Juberg mentioned this briefly  
14 is that when we start with a known mode of action and  
15 we want to change that, what are the minimum criteria  
16 for using new data? This includes both human and  
17 animal data.

18 So in conclusion, the epi data for  
19 chlorpyrifos, in particular, the Columbia study, are  
20 not sufficiently robust to be consequential for use in  
21 risk assessment.

22 Two points, although there are others  
23 to discuss, the Columbia study is not internally  
24 consistent. The associations of chlorpyrifos are not

1 significant in the other Columbia publications. And  
2 the Columbia study results are not replicated in other  
3 studies. I'd be happy to entertain questions.

4 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
5 Burns. Questions? We'll go with Marion first.

6 **DR. MARION EHRICH:** Okay. Slide 7. I  
7 think I just missed what you said. Your workers are  
8 using the plasma -- I think I heard you, the measure  
9 of chlorpyrifos in workers? But I think that this has  
10 to do with your Slide 4? What are you measuring for  
11 your workers when you take them out? I think I just  
12 heard wrong.

13 **DR. CAROL BURNS:** We take monthly  
14 cholinesterase. We measure blood monthly in our  
15 workers. And if it's below -- I think it's below 40  
16 percent BuChE, we have a conversation.

17 **DR. MARION EHRICH:** Okay. So it's the  
18 BuChE?

19 **DR. CAROL BURNS:** Yes.

20 **DR. MARION EHRICH:** Okay. Thank you.

21 **DR. JAMES MCMANAMAN:** Sharon Sagiv.

22 **DR. SHARON SAGIV:** I'm Sharon Sagiv from  
23 U.C. Berkeley. Can we go to Slide 10, please? Slide  
24 10, please. Forward. Yes, that's fine. So there are

1 a few criteria for putting a covariate in a model.  
2 One is that they be statistically associated with the  
3 outcome, which we know we see that in column one. The  
4 chlorpyrifos is associated with IQ or Working Memory.

5 The other for the other two columns is  
6 that they be associated with the exposure of interest.  
7 So they would -- so chlorpyrifos would have had to  
8 have been associated with PAHs and phthalates.

9 If they were not associated with the  
10 exposure interest, they would not meet the criterion  
11 to be a confounder and may not be included for that  
12 reason. That doesn't indicate that there's no  
13 association with chlorpyrifos, but rather that it may  
14 not have made the criteria to be a confounder in those  
15 models.

16 **DR. CAROL BURNS:** Okay. Good point.

17 **DR. JAMES MCMANAMAN:** Other -- Dr.  
18 Pependorf?

19 **DR. WILLIAM POPENDORF:** I was just  
20 going to add another comment. Just, I think the  
21 question about BuChE monitoring, just to clarify, when  
22 you say your workers, you're talking production  
23 workers, right? These aren't agricultural applicators  
24 --



1 DR. CAROL BURNS: This is true. This  
2 is manufacturers and formulators.

3 DR. WILLIAM POPENDORF: Just to be  
4 clear, yeah.

5 DR. JAMES MCMANAMAN: Other questions?  
6 Yes. Dr. Rohlman.

7 DR. DIANE ROHLMAN: First of all, I  
8 agree with Sharon on her comments about -- this is  
9 Diane Rohlman -- about the -- including covariates in  
10 the model. Although, once they are added into the  
11 model, they are, you know, we look at the contribution  
12 each variable makes to the outcome. And so, based on  
13 that, we do see that they do contribute in some cases,  
14 but also we see the effect of the exposure of interest  
15 as well.

16 A second comment is, you make a strong  
17 point about the need for more rigorous epidemiological  
18 research and criterion. I think that certainly is an  
19 important conversation. There are reasons why  
20 publications may not have happened, and that could be  
21 due to a multitude of reasons.

22 So speculating on why a study has or  
23 has not published something without the authors there  
24 to offer explanations, I think, is not appropriate.

1 And those reasons can range from null findings, which  
2 certainly would be a concern, and we hope that those  
3 would be published. But also things like a delay in  
4 the lab for analysis, you know, concern about new data  
5 that's coming out and other issues as well. So I  
6 think that's an important consideration.

7 **DR. CAROL BURNS:** This is Carol Burns.  
8 Yeah, I don't disagree, and I don't mean to have that  
9 be a criticism of authors at all. But I do think if  
10 we want to have epidemiology used in a conversation  
11 like this, it's something that we should be talking  
12 about is availability of data in a timely fashion so  
13 that we can make the best decisions. I didn't mean it  
14 as a criticism of those authors in any way.

15 **DR. JAMES MCMANAMAN:** Other questions?  
16 Okay. Thank you very much. Dr. Banner.

17 **DR. WILLIAM BANNER:** Thank you.

18 **DR. JAMES MCMANAMAN:** Okay. Just  
19 introduce yourself for the record, please.

20 **DR. WILLIAM BANNER:** Hi. I'm Dr. Bill  
21 Banner, and I applaud the group here. I think I have  
22 a little too much productive ADHD to sit this long in  
23 meetings, because you guys are amazing to sit here.

24 You know, I was invited by Dow

1 AgroSciences to review some of these studies,  
2 particularly the Columbia studies and to provide a  
3 clinical sort of prospective on things. So I always  
4 told them I was glad to do that. I've been very  
5 interested in these areas, particularly the impact on  
6 public health on some of the decisions we make.

7 I have been a practicing pediatric  
8 physician and a medical toxicologist for about three  
9 decades now. My background was I was first trained in  
10 pediatrics and then did an NIH-sponsored fellowship in  
11 clinical pharmacology and then did a Ph.D. in  
12 pharmacology at the University of Arizona. Then I  
13 undertook -- again, that ADHD kicked, in and I did  
14 critical care medicine and became board certified in  
15 both pediatrics, medical toxicology, and critical care  
16 medicine.

17 I'm currently the medical director for  
18 the Oklahoma Poison Control Center and an attending  
19 physician in the Pediatric Intensive Care Unit at  
20 Baptist INTEGRIS Medical Center.

21 I'm also -- have been president of the  
22 American College of Medical Toxicology and the  
23 president of the American Academy of Clinical  
24 Toxicology, so very interested in toxicology.

1                   But day-to-day, I treat children. And  
2 I treat children with a lot of life-threatening  
3 diseases. Nutrition is incredibly important to me, in  
4 terms of its impact and where we go, how we do that.  
5 I also treat children with a variety of very  
6 interesting infectious diseases that we may talk more  
7 about.

8                   But you know, I've treated bubonic  
9 plague; I've treated ehrlichiosis; I've treated  
10 tularemia, all vector-driven diseases. We see a lot  
11 of vector-driven encephalitis in Oklahoma, West Nile.  
12 And I'll tell you, on our radar, there are a number of  
13 vector-driven diseases because we are, frankly, losing  
14 the game.

15                   I hate to see us rely on a series of  
16 studies, unless they are extremely sound  
17 scientifically because they can drive us to different  
18 directions. And I think, looking at the raw data, it  
19 is absolutely critical to understanding how it was  
20 done in some cases. And if we're going to make  
21 decisions, you know, unlike something like  
22 methylmercury, which I've heard mentioned a number of  
23 times. I've treated methylmercury poisoning. Without  
24 the raw data, I think you really need to be careful.

1 We're making decisions here that are more important  
2 than that. We're regulating something. `

3 I think there are some very systemic  
4 deficiencies in the Columbia study group articles.  
5 And you know, I would urge you to consider the broader  
6 impact on this. We need nutritious foods. Poverty in  
7 Oklahoma is a real issue and around the country. And  
8 again, we're facing threats like Zika virus this next  
9 couple of summers that are going to really challenge  
10 us. And we don't want to take tools out of the tool  
11 shed, until we're sure that we know that the data is  
12 sound.

13 And I think looking at the raw data is  
14 critical for replicating and understanding the  
15 scientific studies themselves. I think it's an  
16 integral part of the scientific process. In many  
17 other disciplines, it is absolutely just an understood  
18 part that they share their data.

19 And especially critical in these kinds  
20 of things, I had a number of questions about the  
21 methods in these studies, as I've heard around the  
22 table, and very complex databases. And you need to  
23 understand how the data was analyzed to really  
24 understand where they were at.

1                   When we talk about the Columbia -- I'll  
2 talk about the Columbia study and then the individual  
3 articles, the first thing that struck me clinically  
4 was there were a lot of handling of some of the key  
5 confounders that I know as a pediatrician to be very  
6 important to neurodevelopmental outcome.

7                   For example, gestational age, I don't  
8 understand how they derive gestational age in the  
9 studies. We know that a difference of one week in  
10 gestational age in what we used to consider full term,  
11 37 to 41 weeks, one-week difference, it has been  
12 linked to neurodevelopmental differences on things  
13 like Bayley scores. It's very critical that you  
14 accurately measure it.

15                   ACOG, the American College of  
16 Obstetrics and Gynecology has guidelines, very  
17 specifically, for research on how to measure  
18 gestational age. I couldn't tell from the article how  
19 they did it. If they did it by LMP, it is known to be  
20 off by more than five days 40 percent of the time. So  
21 there is a key variable. Knowing how to measure it  
22 would be critically important, if you're understanding  
23 the study, if that's inaccurate.

24                   They recommend first trimester

1 ultrasound, verification of LMP, Ballard scores,  
2 Dubowitz scores; they all have their own pros and  
3 cons. And you know, use them all, But I think you  
4 have to specify. So again, we don't know.

5 In these studies, here's the key  
6 critical confounding variable that we need to  
7 understand. In several of the studies, gestational  
8 age was listed as a strong covariate, as a matter of  
9 fact. In other ones, it doesn't seem to have been  
10 entered into the model. Is this because of the small  
11 numbers that we were dealing with?

12 One study, a 2011 Rauh study, they use  
13 babies down to gestational age of 30 weeks. These are  
14 1200-gram babies. I don't know many of you are  
15 familiar with -- their lowest weight was 1295, I  
16 think. This is a baby I can hold in one hand.  
17 They're going to have neurodevelopmental problems.

18 So including them, most studies go down  
19 to 37 and don't do any kind of neurodevelopmental  
20 outcome studies on babies with that severe  
21 prematurity. They also went up to 43-weeks'  
22 gestation. We know that babies that get past 41  
23 weeks, also have neurodevelopmental problems. It's a  
24 bell-shaped curve.

1                   So including those babies, I think,  
2 really, you know, makes you wonder about the outlier  
3 problems and things like that, when you've got both  
4 ends of the spectrum, and they're not telling us.  
5 They say, well, it was a small number. They should  
6 not have been included in this study, in my opinion.

7                   Other confounding factors that we know,  
8 as pediatricians, we look at maternal IQ. We'll talk  
9 about the number of missing values: Paternal IQ, not  
10 considered, not measured in these studies. Iron  
11 deficiency is one that goes back a long way. And we  
12 know that, as a nutritional part of the assessment,  
13 measurements of iron are critical to understanding  
14 neurodevelopmental outcome.

15                  They assess the medical records, and  
16 they analyze them. But we're not really told what  
17 they did with this. Apgar scores are a crude measure;  
18 maternal medications, where did those play in, a  
19 number of things like that.

20                  In the last decade, the Academy of  
21 Pediatrics has really come strong on the socioeconomic  
22 factors that affect our patients. We know and have  
23 known for a long time that alcohol use is a critical  
24 variable, and drug use, which is very hard to get a



1 handle on.

2                   Now we recognize that violence, whether  
3 that is witnessing violence, witnessing domestic  
4 violence, being involved, the victim of child abuse,  
5 all of these things, just being around in a pervasive  
6 atmosphere of stress raises both maternal and child  
7 cortisol levels and affects neurodevelopmental  
8 outcome. How you control for those is, you know,  
9 something that's very difficult. But a lot of authors  
10 are trying to do that. There's a lot of literature on  
11 that nowadays. That's not very well addressed in the  
12 overall Columbia studies.

13                   Other exposures. You know, lead's been  
14 mentioned. Basically, there was really no effort to  
15 look at lead in here. They've got a few cord blood  
16 lead levels, but we know for the later developmental  
17 studies, that would be associated with contemporaneous  
18 lead exposure. Other chemicals are out there, lots of  
19 them, and it's hard to know how those all fit  
20 together.

21                   They handle their data from study-to-  
22 study very differently. And I'll try to sort of show  
23 that to you in a table in a minute. They utilize  
24 different models. They stratify the data from one

1 study to another differently. And there's findings  
2 where, at one age, there was nothing, and at the next  
3 stage, there was, where you would sort of expect a  
4 longitudinal effect. That causes me to wonder how  
5 strong the data was or what we're really measuring  
6 there.

7           Neurodevelopmental tests are used to  
8 try to draw clinical diagnoses and particularly when  
9 you get into things like pervasive developmental delay  
10 and Attention Deficit Disorders or Autistic Spectrum  
11 Disorders. You know, those are clinical diagnoses.  
12 And to do those -- try to infer that from isolated  
13 clinical scores on a developmental test, I think is  
14 very difficult. But I note the testers in the room  
15 probably disagree with me. But as a clinician, I fall  
16 back on how is the child functioning in the  
17 environment.

18           Now when I looked at the 2006 study,  
19 particularly from Rauh, I wondered, as I've heard,  
20 where did the 6.17 come from, and where did that  
21 number fall in. And what it appeared to me was that  
22 they initially divided their subjects into four  
23 groups, based on exposure level. There was a "no  
24 detection," and then they divided their detectable

1 levels into three groups, sort of a low, medium and  
2 high, which seemed reasonable.

3 Some of their earlier studies from the  
4 same group used a continuous variable. But in here,  
5 they divided it. In their method section, they say,  
6 and I quoted it, "most highly exposed group and the  
7 undetectable group," this was an analysis of variance  
8 study, "had lower mean PDI and MDI scores," than the  
9 two middle groups. In other words, there was no  
10 difference between the most highly exposed group and  
11 the undetectable group.

12 So as I understand it, they lumped  
13 together the nons, the low and the medium, and then  
14 separated that from the high scores and called those  
15 two groups. So there were about 80 percent of the  
16 patients were in the low-exposure group, which was  
17 actually the non-detectables, the lows and the  
18 mediums. And then cut off at 6.17 and compared that  
19 to about 20 percent of the patients, which were in  
20 that high-exposure group. And they said, well, you  
21 know, in their initial assessment, again, there was  
22 "no evidence of a linear or nonlinear dose-response  
23 relationship between chlorpyrifos levels and  
24 developmental outcomes." Of course, that clinical

1 pharmacology training dose-response is everything.  
2 But they couldn't find it, again, because the very low  
3 and the very high were the same.

4 Then, I didn't notice then, but in a  
5 later study, we found out that there was only one  
6 patient enrolled in the high-exposure group after  
7 January 1<sup>st</sup> of 2001, and that was because of the  
8 regulatory changes that occurred. So that sort of  
9 1/1/01 became a critical time-point in their study.

10 So I would have said, looking at this  
11 study as a reviewer, I would have said, this is a  
12 negative study. Analysis of variance, they could not  
13 find any difference between the four groups that they  
14 originally had identified. And so, lumping it  
15 together into this 80/20 mix, to me, just a simple  
16 clinician, I would have said, this is a negative  
17 study, end of discussion. I know everybody's going to  
18 say, well, you could go back and do this and this.  
19 But since we're talking about, you know, important  
20 actions here, I think that is scientifically flawed,  
21 as a comparison, and I think to use that 6.17 is very  
22 concerning as its point of departure. It was a very  
23 artificially drawn point, and they already had data  
24 analysis pointing them away from that.

1                   The other thing that bothered me in  
2                   this study when I looked at it, they were -- for  
3                   maternal IQ, 29 subjects, they did not have data for.  
4                   And here's an important covariant, and we don't know  
5                   whether they fell largely in the high exposure, low,  
6                   exposure, or were they randomly distributed. But it's  
7                   a lot for a smaller number of patients as they had.

8                   When I looked at the Lovasi article in  
9                   2011, that's where they sort of identified that only  
10                  one child was born into this high-exposure group, and  
11                  after January 1<sup>st</sup>. And the first thing, as a  
12                  clinician, I said, well, I know from 1995 to 2005,  
13                  obstetrical practice was changing dramatically.

14                  So I pulled up some birth statistics.  
15                  Caesarean sections rates were increasing; vacuum  
16                  extractions were decreasing. Through this time  
17                  period, there were a number of changes going on.  
18                  Vaginal deliveries after caesarian section, that was  
19                  virtually disappearing from the scene. So there are  
20                  all sorts of things particularly focusing on  
21                  lengthening gestational stages for deliveries.

22                  And now, I don't know the Northern  
23                  Manhattan hospitals or the Bronx Hospitals, but when  
24                  you have a study that suddenly is stratified over 10

1 years where the two groups were enrolled at very  
2 different time periods, I would say you've got to know  
3 what else was going on. Were practice standards  
4 changing? Were these guys early adopters and not  
5 doing -- you know, I know I had two children born in  
6 that time period. And it's like, "Well, when would  
7 you like to schedule your delivery? We're going to do  
8 the induction." And now it's like, "Oh, no, we let  
9 them go as far as we can." So standards have been  
10 changing. Everything is undergoing a lot of radical  
11 changes in that time period from '95 to 2005. And if  
12 you don't know that -- as far as I could tell, again,  
13 I would have said this is not well conceived,  
14 epidemiologically or otherwise, because they had this  
15 huge practice change over a time period. There's no  
16 more randomness over when the patients were exposed.

17 I think it's difficult to draw any  
18 conclusions when you stratify the patients in such a  
19 fashion to where the groups are separated in such a  
20 fashion over medical practice periods.

21 I've put this together; could be wrong  
22 in the way I've said things. But you know, when you  
23 look at the sequential studies from 2003, and this is  
24 all from the Columbia data, through 2015, first, the

1 data was treated as -- the chlorpyrifos data was  
2 treated as a continuous variable. Then in 2004, they  
3 divided it equally into four groups, no exposure, low,  
4 medium, high, and used more of analysis of variance  
5 type of model in that. Then it was 2006 when they did  
6 this 80/20 split at the 6.17. So again, it was not  
7 like that was the original design. This was something  
8 that was changed in midstream.

9 Then in 2011, they went back to using  
10 it as continuous variable. And then as far as I could  
11 tell in the 2015 study, they went back to dividing it  
12 into non-detectable, low, medium, and high for that  
13 particular study.

14 Alcohol use was another thing that  
15 caught my attention clinically. Perera, the original  
16 data that they had, 24 percent of their mothers  
17 admitted to using alcohol during pregnancy. I thought  
18 that was astonishing because, typically, they don't  
19 tell you the truth. You know, 24 percent is a  
20 strikingly high number because it usually well-  
21 underestimates the incidents.

22 I didn't see where they had done  
23 carbohydrate-deficient transferrin or ethyl  
24 glucuronide or gammaGTP to see if there were any

1 indications of chronic alcohol abuse, some of the  
2 objective things. So it doesn't appear that they  
3 verified that.

4           There were no drug screens done. And  
5 of course, this is a high-risk population. And again,  
6 you know, these moms are looking at losing custody of  
7 their children, if they're using drugs during  
8 pregnancy. So they're not going to tell anything. So  
9 drug abuse, to me, is something that you want to have  
10 some sort of objective verification of.

11           It doesn't -- in the next study by  
12 Whyatt, they said there were a few mothers that were  
13 drinkers, that was 25 percent, said they were  
14 consuming alcohol during the pregnancy. But only a  
15 few of them said they were drinking a lot during the  
16 pregnancy.

17           The Rauh in 2006, 2011 and 2015 don't  
18 seem to have included that, as far as I could tell, as  
19 part of their model or considered that as a strong  
20 variant or eliminated those patients or anything.

21           Gestational age, again, we kind of  
22 talked about that, something that we know is an  
23 important covariant in the development of children.  
24 It was significant in the Perera study. It was



1 significant and highly -- one of their most important  
2 covariates in the Whyatt study. But by 2006, it was  
3 not significant. And in 2011, I don't think they  
4 included it in their model at all. I couldn't find it  
5 on the appendix. So I'm not sure how they handled  
6 that. But we know they used babies that were less  
7 than 37-weeks' gestation -- in other words, extremely  
8 high-risk deliveries -- and they used babies that were  
9 greater than 41-week gestation which is also another  
10 high-risk group.

11 And maternal IQ, again, a lot of  
12 missing values certainly not included in the earlier  
13 studies, because they didn't measure it until later.  
14 But they had a lot of missing values.

15 In the 2011 study, it was a significant  
16 covariant and Full-Scale IQ, but in this Working  
17 Memory. Intuitively, I ask myself is that because of  
18 the small numbers or the missing data, that they just  
19 couldn't find important covariates. You guys are  
20 better at that than I am, but it raised questions in  
21 my mind. And then you get the 2015 study where it  
22 really wasn't part of the model, and that study was  
23 really about asthma medications, as far as I can tell,  
24 which were important, some of their other studies.

1                   What I find most interesting about the  
2 gestational age study is that the same group did  
3 another study of 128,000 deliveries in the New York  
4 area, in their same area, and found that gestational  
5 age was one of the most important covariates in  
6 academic achievement at the same age as the 2011  
7 study, but yet, they don't seem to have really  
8 included that as an important covariant or accurately  
9 measured it in their study. So I mean, it was Dr.  
10 Noble, I believe, is part of that group that looked at  
11 gestational age in their general population -- 128,000  
12 birth records, I believe. So again, gestational age,  
13 maternal IQ, the things that, as a clinician, I look  
14 at to figure out how my kids are going to develop  
15 here.

16                   So my conclusions without making you  
17 sit here much longer, the cutoff value of 6.17, I  
18 found no scientific basis for that. They were not  
19 able to find the dose-response curve. There seems to  
20 have been an arbitrary system to lump data together  
21 when, in fact, the two extremes, ends of the data,  
22 appeared to be the same in analysis of variants.

23                   I would say that if you're going to get  
24 to the bottom of this, you're going to have to look at

1 the raw data to try to replicate this, to try to  
2 evaluate this study.

3 I think they did not do a good job with  
4 their confounders. And you know, I know you guys are  
5 better at that than I am. But the things that I think  
6 are important for child development were not handled  
7 very well or accurately measured. And I think that we  
8 really need to be cautious because this has the  
9 potential to negatively impact children.

10 You know, I know what I deal with is  
11 the food supply, poverty. You know, we have -- you  
12 know, one of my colleagues said they went on a mission  
13 trip. And I said, "Yes, I did, too; I went home."  
14 You know, we've got a very poor population in  
15 Oklahoma. Nutrition is critical. Anything that  
16 interferes with our ability to deliver that food  
17 supply is going to negatively impact our children.

18 I also, you know, up here, y'all don't,  
19 even though you're south of the Mason-Dixon line, you  
20 don't deal with -- -I could list the vector-driven  
21 diseases: Tularemia, ehrlichiosis, Rocky Mountain  
22 Spotted Fever, West Nile Virus.

23 And now, they're telling us, you know,  
24 I mean, the CDC is sending me alerts on Zika virus,

1 chikungunya virus. We know those are the things that  
2 are going to impact child development negatively. I  
3 don't want to lose any tools out of the tool shed.  
4 We're having trouble controlling our insect vectors in  
5 these very poor counties in Oklahoma. Don't take  
6 these things away, unless there's a good, sound  
7 scientific basis. Thank you. I'll try to answer  
8 questions.

9 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
10 Banner. Questions for Dr. Banner?

11 **DR. DALAND JUBERG:** This is Daland  
12 Juberg. I'm just curious, is chlorpyrifos approved  
13 for mosquito control?

14 **DR. WILLIAM BANNER:** I don't think it  
15 is. You know, it's certainly the -- for other  
16 insects, it would be. And we see a lot of non-  
17 mosquito-driven disease, as well as mosquito-driven  
18 diseases.

19 **DR. JAMES MCMANAMAN:** Dr. Sagiv?

20 **DR. SHARON SAGIV:** This is Sharon Sagiv  
21 from U.C. Berkeley. Thank you for that presentation.  
22 So you spent a good amount of time talking about  
23 gestational age. And I agree with you that it is very  
24 poorly measured, using LMP. I think they probably

1 have described their methods for deriving gestational  
2 age in previous papers. So you might want to check  
3 out their papers on gestational age.

4 **DR. WILLIAM BANNER:** I couldn't find  
5 it.

6 **DR. SHARON SAGIV:** They would have had  
7 to to get their peer review.

8 **DR. WILLIAM BANNER:** Yeah.

9 **DR. SHARON SAGIV:** And usually, they use  
10 last menstrual period or they use an ultrasound to do  
11 that. So I agree with you that it's not well  
12 characterized.

13 However, your claim is that it's an  
14 important confounder to control for. And as practice  
15 in epidemiology of studies of neurodevelopment, we try  
16 not to control for those factors, because they may lay  
17 on the causal pathway between your exposure interest  
18 and neurodevelopment.

19 And if you control for them, you may be  
20 controlling for an important pathway in which that  
21 toxicant works under development. So we actively  
22 don't -- even though we have that data, we don't  
23 usually control for something like gestational age or  
24 birth weight, with other mediators that might lay on

1 that causal pathway. So I think there was a good  
2 reason why they didn't control for gestational age  
3 here. So I just wanted to comment on that.

4 **DR. WILLIAM BANNER:** That would be true  
5 unless, again, you know, with the removal of  
6 chlorpyrifos from the environment with the  
7 regulations, if practices changed that changed  
8 gestational -- that the deliveries and the gestational  
9 ages, that would be, you know, something important to  
10 know and to characterize because it may be that all  
11 your low-exposure-rate children were pushed into  
12 higher gestational ages because of the impact of  
13 practices changes when they took, you know, when they  
14 made that break in exposure, you know. So again,  
15 you'd have to know a lot about what was going on  
16 because, I mean, I think it's unfortunate these  
17 investigators got caught with this sudden change in  
18 the -- their -- sort of affected their study design  
19 midstream. And then now you've got all these things  
20 that would have been randomly distributed or would  
21 have affected both ends of the spectrum. Now they're  
22 only impacting the low-exposure group in a positive  
23 direction. That could have a profound -- you know, if  
24 you don't look at it from that perspective, you don't

1 know. You know, maybe you understand that better than  
2 I do, but that's just -- sort of common sense says  
3 you've got to take that into account somehow.

4 **DR. SHARON SAGIV:** Okay. I'm not sure  
5 I'm understanding. This is Sharon Sagiv from U.C.  
6 Berkeley. I'm not sure I understand that argument  
7 very much. I just know that, in general, you wouldn't  
8 want to put that in the model. That's what I'm  
9 saying.

10 **DR. WILLIAM BANNER:** Well, my point is  
11 if you changed midstream and suddenly took away the  
12 toxicant and then made other -- there were other  
13 changes occurring, in practice, and those changes in  
14 practice affect the thing that you're measuring, and  
15 they're only affecting the group that was there after  
16 the change, it's going to affect your data. And that,  
17 to me, seems pretty intuitive.

18 **DR. SHARON SAGIV:** Okay.

19 **DR. WILLIAM BANNER:** So if we suddenly  
20 started not doing vacuum extraction deliveries, for  
21 example, which are very stressful and would affect the  
22 baby, and those that occurred around 2000, you know,  
23 it was going like this over that time period, it's  
24 only going to affect the babies that were born after;

1 or that's primarily going to affect the babies that  
2 were born after the change in exposure. So in that  
3 respect, you'd have to consider it somehow.

4 **DR. SHARON SAGIV:** So you're -- do you  
5 have evidence to show that those practice changes  
6 occurred at the time that chlorpyrifos was taken out  
7 for residential use?

8 **DR. WILLIAM BANNER:** Yes. Yeah. I  
9 mean, CDC birth statistics. You know, everything --  
10 lots of things were changing.

11 **DR. SHARON SAGIV:** Okay.

12 **DR. WILLIAM BANNER:** Now I don't know,  
13 as I admitted, you know, I haven't been to Northern  
14 Manhattan in a while or the Bronx. I used to go as a  
15 kid. And I don't know what their hospitals were  
16 doing. Were they early adopters; were they late  
17 adopters, you know. That's mathematical for, are you  
18 ahead of the game or behind the game. You know, what  
19 were they doing there? You'd have to know. You'd  
20 have to look.

21 It's sort of tragic for their study  
22 that this happened right in the middle of their study.  
23 But yeah, there was -- you know, that was the first  
24 thing that came to my mind is, oh, my gosh, you know,



1 what else happened, you know?

2 **DR. SHARON SAGIV:** So those changes in  
3 practice wouldn't have created an increase in pre-term  
4 birth. They may have changed the gestational age  
5 slightly. There wouldn't have been drastic changes in  
6 gestational age. There would have been slight  
7 changes. If, say, they were inducing by standard of  
8 practice. So I still don't see how that could impact  
9 these findings greatly. But okay.

10 **DR. WILLIAM BANNER:** Well, we know that  
11 a --

12 **DR. SHARON SAGIV:** Well, a couple --

13 **DR. WILLIAM BANNER:** -- week is  
14 critical.

15 **DR. SHARON SAGIV:** -- of other points I  
16 wanted to make. You talked a little bit about  
17 neurodevelopmental outcomes and using clinically  
18 diagnosed disorders, rather than changes in, say, a  
19 neurodevelopmental profile for cognition or behavior.  
20 And the fact is that these studies are underpowered to  
21 look at developmental disorders. They just don't have  
22 enough participants to be able to do that. So we  
23 really can't do any of these prospective cohort  
24 studies looking at the developmental disorders. There

1 just aren't enough cases to do so.

2 And there are also some -- there's some  
3 good literature showing that looking at changes in  
4 behavior and cognition are probably a better way to  
5 use our data in cohort studies. And I'm looking at  
6 clinically diagnosed disorders. And I can point you  
7 to some commentaries about that. So I wouldn't  
8 undermine the studies for not looking at developmental  
9 disorders is what I'm saying.

10 **DR. WILLIAM BANNER:** I think I was  
11 agreeing with you.

12 **DR. SHARON SAGIV:** Yeah. Okay. Okay.  
13 Good. Yeah, yeah. Oh, you had mentioned that  
14 neurodevelopmental disorders -- they didn't look at  
15 the disorders and so forth?

16 **DR. WILLIAM BANNER:** No, no. They did,  
17 they did. And I thought --

18 **DR. SHARON SAGIV:** Yeah.

19 **DR. WILLIAM BANNER:** -- they did -- you  
20 can't go to a clinical diagnosis based on what they  
21 had.

22 **DR. SHARON SAGIV:** Yeah. I agree with  
23 you on that.

24 **DR. WILLIAM BANNER:** Okay.

1                   **DR. SHARON SAGIV:** And I made comments  
2 about that yesterday that this -- yeah.

3                   **DR. WILLIAM BANNER:** Okay. I probably  
4 said it inarticulately. Yeah.

5                   **DR. SHARON SAGIV:** Okay. I just wanted  
6 to mention that.

7                   **DR. JAMES MCMANAMAN:** Other questions?  
8 Dr. Rohlman?

9                   **DR. DIANE ROHLMAN:** First, can I go  
10 back to Dr. Burns' presentation?

11                   **DR. JAMES MCMANAMAN:** You can.

12                   **DR. DIANE ROHLMAN:** Okay. Just a quick  
13 comment on your comparison about external validity  
14 across -- this is Diane Rohlman. You know, we just  
15 need to keep in mind that we have different exposure  
16 profiles for all three of these birth cohort studies,  
17 as well as other ones and that the plan was set up  
18 also not to make the same measurements to do a  
19 comparison there. So the fact that there's  
20 inconsistent findings, I don't think is surprising as  
21 well.

22                   This is a broader question, I guess,  
23 for the panel here. Since we keep coming back to this  
24 2000 regulation which reduced the use of chlorpyrifos

1 indoors, could you just give me some background  
2 information on what role Dow played with that  
3 decision?

4 **UNIDENTIFIED MALE SPEAKER:** In my -- to  
5 my knowledge, it was before my time with Dow  
6 AgroSciences, but that was a voluntary agreement with  
7 the agency.

8 **DR. DIANE ROHLMAN:** So -- okay.

9 **UNIDENTIFIED MALE SPEAKER:** But I could  
10 ask one of my regulatory colleagues in the back of the  
11 room if, I'm not stating that correctly for the  
12 record, to join me up front and provide that  
13 perspective.

14 **DR. DIANE ROHLMAN:** So the -- just to  
15 clarify, and I'm just trying to wrap my head around  
16 this, is that, at that point in time, there was a  
17 decision made with the EPA and with Dow to restrict  
18 the use because of concerns about neurodevelopmental  
19 outcomes?

20 **UNIDENTIFIED MALE SPEAKER:** I can't  
21 confirm that it was due to concerns about specific  
22 neurodevelopmental outcomes. I don't know the basis  
23 for that voluntary withdrawal from the residential  
24 market.

1                   **DR. DIANE ROHLMAN:** Okay. So if we can  
2 presume that there was some concern about health  
3 outcomes, what we might then -- what I'm pondering  
4 here is if we look at the levels we see in the  
5 Columbia cohort -- and I keep hearing that these  
6 levels of the 6.17 is below levels that cause  
7 cholinesterase inhibition which seems to be the gold  
8 standard for looking at health effects. But yet,  
9 we've restricted their use indoors to drop those  
10 levels and we have seen that they have dropped those  
11 levels here.

12                   I'm just curious if you could comment  
13 on why the decision to drop the indoor use. I'm not  
14 phrasing this correctly. What's my question here? If  
15 you're seeing that the levels we're seeing in the  
16 Columbia cohort --

17                   **DR. JAMES MCMANAMAN:** So, Dr. Rohlman,  
18 I don't think that they can address policy questions.

19                   **DR. DIANE ROHLMAN:** Okay. But -

20                   **DR. JAMES MCMANAMAN:** So we'll stick to  
21 the science.

22                   **DR. DIANE ROHLMAN:** Fair enough.

23                   **DR. JAMES MCMANAMAN:** Okay.

24                   **DR. KEN RACKE:** Ken Racke here from Dow

1 AgroSciences. Can I make two clarifications? And  
2 yeah, to my knowledge, the voluntary withdrawal in  
3 2000 had been based on a change in the assessment due  
4 to the FQPA uncertainty factor being inserted into the  
5 assessment process.

6 Again, we were using a cholinesterase-  
7 based endpoint and assessments that had passed. You  
8 know, one day basically didn't pass the next, based on  
9 this cholinesterase endpoint and uncertainty factors  
10 through the application of those FQPA uncertainty  
11 factors. I think that seemed to be the reason.

12 And then one quick question back on the  
13 mosquitoes. Just to clarify, yes, chlorpyrifos is  
14 still approved as a mosquito adulticide, not a  
15 larvicide, but their adulticide use is you know,  
16 sprays or fogging that I think are still used for  
17 public health purposes. So just to clarify from a reg  
18 perspective. Again, Ken Racke, Dow AgroSciences.  
19 Thank you.

20 **DR. JAMES MCMANAMAN:** Thank you.

21 **DR. KEN RACKE:** I apologize for  
22 misspeaking. It's not something I'm familiar with.

23 **DR. JAMES MCMANAMAN:** Other questions?

24 All right. Hearing none, then I think we'll take a

1 break now.

2 DR. DALAND JUBERG: I have one  
3 concluding slide.

4 DR. JAMES MCMANAMAN: Okay.

5 DR. DALAND JUBERG: Could we just put  
6 that up?

7 DR. JAMES MCMANAMAN: Yes.

8 DR. DALAND JUBERG: It's under Tab 1.  
9 And while we do that, I would like to thank, first of  
10 all, Dr. McManaman and the U.S. EPA for giving us  
11 considerable time this morning. I'd like to thank my  
12 co-presenters. And in particular, I'd like to thank  
13 this panel for listening to us with respect and giving  
14 this time.

15 Just several points, and these -- just  
16 a collective summation. The proposal before for the  
17 SAP sets aside what we believe is over 40 years of  
18 science and regulatory decision-making. Global  
19 regulatory authorities continue to use RBC  
20 cholinesterase inhibition as a regulatory endpoint.  
21 Unreliable exposure data do not meet EPA's regulatory  
22 standards. The causal link between neurodevelopmental  
23 effects and in chlorpyrifos exposure has not been  
24 established and is inconsistent with other

1 epidemiological evidence. There are serious questions  
2 about the CCCEH study design confounding variables.  
3 And the point of departure based on Rauh et al. is  
4 untenable. Calculating in a benchmark dose estimate  
5 in the absence of the raw data is a major  
6 vulnerability.

7 And finally, EPA's proposal is hasty,  
8 unnecessary. And by that, I mean we have a robust PoD  
9 currently and not based on sound science. We feel  
10 that the FIFRA regulatory process, the commitment to  
11 public health, and the adherence to transparency and  
12 scientific investigation should demand that a high bar  
13 be set when considering such a shift in thinking and  
14 regulation. Thank you.

15 **DR. JAMES MCMANAMAN:** Thank you. So  
16 we'll take a 15-minute break now. So, be back at 5  
17 till.

18 (Brief recess.)

19 **DR. JAMES MCMANAMAN:** Set up public  
20 commenters. Like herding cats. Okay. So we have a  
21 change in the schedule. Wendy Hessler is not  
22 speaking. And Sarada Tangirala is going to read into  
23 the record for Wendy Hessler and Sarada Tangirala.  
24 Wendy Hessler is a private citizen. Sarada is from



1 National Campaign Manager for Women's Voices for the  
2 Earth.

3 So I'm wondering if we're going to have  
4 an EPA session about decreasing vision as you get  
5 older. Okay, I'm reading this verbatim. "My name is  
6 Sarada Tangirala, and I'm with the National  
7 Organization of Women's Voices for the Earth. Our  
8 mission is to amplify women's voices to eliminate the  
9 toxic chemicals that harm our health and communities.  
10 And today, I want to do just that, amplify women's  
11 voices.

12 So I direct your attention to the  
13 written comments provided by Dr. Minako Watabe who is  
14 an OB/GYN from Ventura County, California. Dr. Watabe  
15 writes: As an OB/GYN in Ventura County where I care  
16 for many women who are agricultural workers and where  
17 my children go to daycare surrounded by the citrus  
18 oranges, I would like to ask for your careful  
19 consideration in the data surrounding  
20 neurodevelopmental effects of chlorpyrifos.

21 My expertise is in applying scientific  
22 research in practice. If there are human data  
23 revealing that a drug has the potential for permanent  
24 fetal harm, the drug would not be used in pregnancy,

1 unless it was determined, with absolute certainty,  
2 that there is a threshold dose under which there would  
3 be no harm and still have therapeutic value.

4 The National Academy of Sciences and  
5 experts in neurodevelopment would argue that it is  
6 unlikely that there is a threshold under which  
7 neurodevelopmental toxicants can cause no harm. The  
8 aggregate and cumulative effects of neurodevelopmental  
9 toxicants on the developing brain occur during the  
10 long period of vulnerability and cannot be easily  
11 accessible -- easily be quantified and do not fit into  
12 the traditional algorithm.

13 Human science is imperfect. There are  
14 always knowledge gaps, as conducting research on  
15 humans is unethical. However, let's not forget that  
16 the lessons that we have learned from lead, mercury,  
17 alcohol and now chlorpyrifos. Our children's  
18 intellectual capacity is priceless and cannot be  
19 recovered once it is lost.

20 Furthermore, we will never be able to  
21 protect against human error and environment disasters,  
22 fires and non-compliance. In California we have  
23 experienced wildfires that have spread to agricultural  
24 areas, causing chemical explosions in close proximity

1 to schools, daycares and residential areas.  
2 Farmworkers and local farmworker advocacy groups  
3 routinely document noncompliance with pesticide safety  
4 regulations.

5 Local regulatory agencies admit that  
6 they don't have the manpower to properly regulate  
7 pesticide use. We cannot account for all these  
8 circumstances, but we can, at least, ban the  
9 production of the most harmful pesticides.

10 We cannot prevent all the traffic  
11 deaths, but we can at least put all of our infants in  
12 car seats. Public health and epidemiological research  
13 in the academic community must be considered central  
14 to any decision-making involving the protection of  
15 children's health. There is no question that research  
16 conducted by industry is subject to financial bias and  
17 relying on such research can put the public at risk.

18 I respectfully request that when making  
19 recommendations to the U.S. EPA regarding how to  
20 consider the Columbia data and regulation of  
21 chlorpyrifos, you will look at it through the lens  
22 through of a parent, grandparent and community member  
23 and consider all the children to be as precious as  
24 your own. Thank you."

1                   Okay. Back up here. So next up then,  
2 I have Scott Schertz. From Schertz Aerial Service,  
3 Incorporated.

4                   **MR. SCOTT SCHERTZ:** Hello. Thank you  
5 for this opportunity. I am Scott Schertz. I do own  
6 and operate Schertz Aerial Service, an aerial retail  
7 and distribution company in Central Illinois. I've  
8 owned this business for about 30 years. And  
9 obviously, we've handled, provided, applied these  
10 products on millions of acres and have seen them to be  
11 very, very useful tools.

12                   Now, also I was president of the NAAA,  
13 the aerial applicators association trade group about  
14 10 years ago, also on the ARA Board and also part of  
15 CLA with some other committees and issue management  
16 teams.

17                   It is a very important recommendation  
18 that you have before you. And it does go well beyond  
19 just this one product, the underlying policy of it.  
20 You know, other insecticides, the other LPs, perhaps,  
21 pyrethroids, etcetera, are definitely at risk. And  
22 the scope of this fact actually goes beyond the charge  
23 craft since it's been implied a few times with some of  
24 the correspondence that is in the docket from OPP to

1 some of the registrants clearly say that the  
2 underlying issues were part of the -- or can be  
3 brought into the SAP Charge Questions.

4 It is important to understand, though,  
5 that it isn't just a single, as I mentioned,  
6 insecticide. I mean, it's insects; it's mites. Also  
7 as one of the last speakers before the break got into,  
8 these insects can be vectors for pathogens that affect  
9 the food. And also the whole public health concerns  
10 are greatly increasing now.

11 And yes, not only is chlorpyrifos a  
12 adulticide, but also that question was probably a  
13 little too narrow because the underlying 10X can  
14 impact many of the other public health insecticides.

15 And in this arena, it's really sort of  
16 an integrative pest management. You need a variety of  
17 tools, both for an ag use and also public health. And  
18 when it was asked about chlorpyrifos whether it had a  
19 public health label or not, you know, really, it  
20 should be what else is at risk, due to this policy  
21 shift. And that is clearly aimed at the OPs. And  
22 well, you may for a short-term pest outbreak be okay  
23 losing a tool or maybe even a class of tools. But  
24 when you have a sustained outbreak -- hurricane, you

1 know, or spider mites in a drought -- you really do  
2 need to be able to rotate products. And OPs are  
3 definitely a very, very component of this in both the  
4 public health area and then also agriculture.

5 Obviously, as they change the endpoints  
6 in this risk assessment, aerial is looked at less  
7 favorably. And for many years, aerial has really been  
8 the prime application vehicle for insecticides.

9 And there's a few unique things to this  
10 that many people don't realize. For one, we do handle  
11 it in bulk and we have true closed systems. We're  
12 very concerned on worker safety. And that allows a  
13 scale to actually, you know, receive 4,000 or 5,000  
14 gallons and end up having exposure less than dealing  
15 with one tin, half-gallon jug when we do it in a  
16 closed-loop bulk system.

17 Also, there's many, many advances in  
18 even the 30 years I've been or I've owned this  
19 business, as far as the technology. Things such as  
20 GPS tracking, where you have and haven't been;  
21 smokers; airborne weather systems that can predict  
22 drift, not only where it is, but also where it isn't.  
23 And you know, this adjustment puts many of these tools  
24 at risk.

1                   In particular, the drinking water  
2 modeling and surface water is really troubling. And a  
3 couple of points on that is it's not every field that  
4 gets done, not always max rate. Not all the fields  
5 are close to water and the wind doesn't always blow  
6 towards the water. And also applicators do take care.  
7 But aerial is very important for a rapid response for  
8 treating crops, protecting crops, without crop damage.

9                   I will bypass many of the other points  
10 here that, you know, obviously have been made by  
11 better-informed people. But there are a few process  
12 points I would like to make. Even though the agency  
13 has talked about their, you know, sound science and  
14 attention to detail, there's several missing links,  
15 including not responding to comments. And like the  
16 2010 Draft Framework for Incorporating Human Epi and  
17 Incident Data.

18                   Also very, very troubling on this  
19 particular product is the 2014 Revised Human Health  
20 Risk Assessment. The agency has never responded to  
21 the comments on it. In fact, it was stated that they  
22 were not going to and if you wanted them to be  
23 considered, you'd put them in the 2015 docket on it.

24                   So you know, there are a lot of things

1 moving fast on this. It would be very responsible, I  
2 believe, for you to, you know, consider the advice  
3 carefully. And I would, you know, be very welcome for  
4 the agency to request more time. And you know, we do  
5 have many concerns of where this leaves us,  
6 particularly, on the broad range of tools and also the  
7 public health standpoints. So any questions?

8 **DR. JAMES MCMANAMAN:** Any questions  
9 from the panel? Okay.

10 **MR. SCHERTZ:** Thank you.

11 **DR. JAMES MCMANAMAN:** Thank you. The  
12 next presentation is from CropLife.

13 **MR. JAY VROOM:** Good morning.

14 **DR. JAMES MCMANAMAN:** Good morning.

15 **MR. JAY VROOM:** So, thank you for this  
16 opportunity to appear before this distinguished SAP  
17 Panel. My name is Jay Vroom. I'm President and CEO  
18 of CropLife America. And I'm pleased to be here with  
19 two colleagues from member companies, scientific  
20 experts, Dr. Starks and Dr. Chukwudebe. And they will  
21 be speaking to our slides. But it's my pleasure to  
22 provide a little introductory overview to our  
23 association and perspective around what we bring to  
24 the conversation here this morning.



1           The next slide has a brief description  
2 of who CropLife America are. As a trade association,  
3 we represent about 110 member companies. Is it  
4 possible to advance to the next slide or is it here?  
5 There.

6           We represent the developers,  
7 manufacturers, formulators and distributors of crop  
8 protection chemicals and other plant science  
9 solutions, principally biotechnology products for  
10 agriculture and pest management in the United States.

11           And our members obviously support  
12 rigorous science-based and transparent process for  
13 government oversight and regulation of our products.  
14 We're committed to working with U.S. EPA, as we have  
15 over the decades is the primary federal agency  
16 responsible for the regulation of these products.

17           Just by way of background, I've been in  
18 this role leading this association for over a quarter  
19 of a century and was there during the process of the  
20 development and negotiations of the Food Quality  
21 Protection Act, which President Clinton signed into  
22 law 20 years ago, this August 3<sup>rd</sup>.

23           I think the most important part of that  
24 law that is specific to your deliberations is

1 scientists evaluating the chlorpyrifos biomonitoring  
2 data is the emphasis in FQPA of reliable data. And  
3 the Columbia study, raw data being unavailable, in our  
4 view, represents a serious question about its  
5 reliability.

6 Certainly, CLA and some of our member  
7 companies also have petitioned the agency to consider  
8 postponing this SAP because of the questions that we  
9 believe remain unresolved with respect to the  
10 appropriate role of epidemiology information in the  
11 overall risk assessment process for pesticides  
12 specifically. Those petitions were all denied.

13 But we believe that there is a  
14 legitimate question associated with the role of  
15 epidemiology information as it contrasts to  
16 toxicological information. This, in particular,  
17 developed under good laboratory practices that are  
18 also regulated by the Environmental Protection Agency.

19 We also wanted to make note of the fact  
20 that the court mandate to EPA, in our view, does have  
21 flexibility that perhaps wasn't fully expressed by  
22 agency representatives yesterday and that the agency  
23 does have the ability to go back to the court to ask  
24 for additional time, that the June and December

1 deadlines are not absolute hard deadlines, but can be  
2 flexed, should a discovery be made for the need for  
3 science that it require more time.

4 Another point that I wanted to share is  
5 that the 2014 Farm Bill does provide EPA with the  
6 authority to create an agricultural advisory committee  
7 to the EPA SAB and U.S. SAPs. That still is in  
8 development. We wish that that organization were in  
9 place by EPA. And Congress I know is frustrated by  
10 the long lag in establishing that ag advisory  
11 committee that could have helped inform some of your  
12 work here today and this week.

13 Finally, the chlorpyrifos agency action  
14 is bundled with other organophosphate products. And  
15 as the previous speaker just alluded, many of those  
16 not only have important agricultural applications, but  
17 also key roles in the protection of public health and  
18 the management of disease vector control, most  
19 particularly, the control of the Zika virus vector.

20 So thank you very much, and I think Dr.  
21 Starks, you're planning to go first.

22 **DR. SARAH STARKS:** My name is Sarah  
23 Starks. I am a regulatory affairs consultant for  
24 DuPont. I also have a Ph.D. in epidemiology from the

1 University of Iowa. And my doctoral work focused on  
2 the neurological and neurobehavioral effects of  
3 organophosphate pesticide in farmers enrolled in the  
4 Agricultural Health Study. And Dr. Chukwudebe and  
5 myself are here today to provide comments on behalf of  
6 CropLife America.

7 So our presentation will be in two  
8 parts. I'll be discussing the importance of this  
9 analysis for making regulatory decisions. I'll  
10 highlight some of the limitations of the Columbia  
11 study specifically for its use in human health risk  
12 assessment. And I will touch on some items and some  
13 concerns that the EPA has yet to address. Then Dr.  
14 Chukwudebe will follow up with more technical talk on  
15 exposure science considerations and then we'll wrap up  
16 with some conclusions and recommendations.

17 So why is this an important issue for  
18 us? Well, as was alluded to earlier, the EPA's use of  
19 human epi data in the absence of toxicological data  
20 for quantitative risk assessment is precedent-setting.

21 The EPA has relied on the Columbia  
22 study which is a single, unreplicated epidemiology  
23 study that is not designed for quantitative risk  
24 assessment.

1                   The EPA has excluded a very robust  
2                   animal toxicological database of studies that have  
3                   been conducted following accepted test guidelines that  
4                   have been the historic foundation for pesticide risk  
5                   assessment. And furthermore, there is a lack of  
6                   plausible mode of action for the hypothesized  
7                   association of exposure and neurobehavioral outcomes.

8                   Also, I think it's important to remind  
9                   the Advisory Panel that the conclusions from this  
10                  panel and how you address the charge questions may  
11                  very well likely support establishment of policy for  
12                  future human health risk assessment approaches which  
13                  will greatly impact regulatory decision-making.

14                  So we think that the Advisory Panel  
15                  should consider this fundamental question during your  
16                  deliberations. Is the Columbia study sufficiently  
17                  robust and suitable for deriving a regulatory endpoint  
18                  for human health risk assessment?

19                  Yesterday you heard from the EPA a  
20                  number of strengths of the cohort study. I'm going to  
21                  touch on some of the important limitations. As I  
22                  mentioned, the Columbia study was not designed for us  
23                  in regulatory quantitative human health risk  
24                  assessment with does and temporal concordance.

1 Rather, the Columbia study was designed to assess  
2 several different health outcomes and many different  
3 environmental factors.

4 So there are numerous limitations of  
5 the Columbia study. Some of them include, as we  
6 already discussed, poor chlorpyrifos exposure metrics,  
7 the inability to examine or control for some potential  
8 confounding factors, limited statistical power, as a  
9 result of small sample sizes.

10 There's a lack of generalizability to  
11 other populations and a lack of reliability and  
12 repeatability. And because we do not have access to  
13 the raw data, we cannot examine this.

14 So moving on to poor exposure metrics.  
15 As you're aware, chlorpyrifos was based on -- I'm  
16 sorry, exposure was based on chlorpyrifos levels that  
17 were detected in either maternal or umbilical cord  
18 blood at the time of delivery.

19 Maternal blood was collected within two  
20 days of delivery. So there's a little bit of  
21 uncertainty in the timing there. So this is a  
22 measurement that's taken in only one point in time.

23 The authors assumed, they made a very  
24 important assumption, that these levels were similar

1 to each child prenatally. So given the  
2 characteristics of chlorpyrifos and its rapid  
3 metabolism in humans, the levels of chlorpyrifos  
4 detected at birth do not necessarily reflect the  
5 exposures through the prenatal period.

6 Also, in the statistical analysis, you  
7 see that a continuous chlorpyrifos measurement was  
8 dichotomized into two groups, a low exposure group and  
9 a high exposure group. What happens when you take a  
10 continuous variable and you dichotomize it, this  
11 reduces the statistical power to detect the true  
12 effect.

13 Also, when we dichotomize, you run the  
14 risk of exposure misclassification. This can result  
15 in either non-differential or differential error. I  
16 think that epidemiologists make a blanket statement  
17 that exposure misclassification will likely result in  
18 the non-differential bias, a bias towards the null  
19 when, in fact, we don't know that. This could be a  
20 bias towards or away from the null.

21 And then finally, there is no  
22 biological basis for the cutoff of 6.1 pg/g that was  
23 used in this study. Very importantly, the Columbia  
24 study cannot be replicated because residential use of

1 chlorpyrifos was phased out in 2001. So the study was  
2 based on exposure circumstances that no longer exist.

3           Regarding potential confounding, the  
4 EPA states in their 2010 draft framework that when  
5 evaluating the quality of observational epidemiology  
6 studies, the Office of Pesticide Programs will  
7 consider whether relevant confounding factors are  
8 properly identified, described, measured and analyzed,  
9 such that an unbiased estimate of the specific  
10 association under study can be made.

11           Now to the Columbia study's credit,  
12 they did examine several potential confounding  
13 factors. However, we believe that they did not  
14 adequately control or at least examine some important  
15 neurotoxicant and nonchemical stressors.

16           So lead, I'll talk a little bit more  
17 about. And we already mentioned that alcohol use  
18 during pregnancy was not examined. And then there's  
19 some other factors such as nutritional status. So  
20 these all have the potential to profoundly affect  
21 child neurodevelopment.

22           Just to give you an example, in the  
23 Perera study in 2003, this was an earlier Columbia  
24 study, reported that nearly a quarter of the mothers



1 in that cohort reported alcohol use during pregnancy.  
2 However, this factor wasn't considered in later  
3 analyses.

4 In addition, there are factors that do  
5 change over time. This includes socio and economic  
6 status and different environmental exposures. And  
7 these require repeated assessment throughout the study  
8 and this was not done. So we believe that the EPA  
9 needs to further explore the extent to which the  
10 observed neurobehavioral outcomes were influenced by  
11 exposure to other factors.

12 Next is the issue of small sample  
13 sizes. And in 2012, the Advisory Panel deemed  
14 inadequate sample sizes as the most important  
15 limitation of these studies. As we know, that small  
16 sample sizes, they limit the statistical power. They  
17 create instable risk estimates.

18 And just to give you an example in the  
19 2006 Rauh publication, they reported statistically  
20 significant associations between high chlorpyrifos  
21 exposure and neurobehavioral outcomes, such as  
22 attention problems, ADHD and pervasive development  
23 disorders. So we see here are large odds ratios. But  
24 what the EPA fails to acknowledge is that these

1 confidence intervals are also extremely wide. And  
2 this is an indicator that these estimates are not  
3 stable.

4           However, despite the instability of  
5 these estimates the EPA indicates in their weight of  
6 evidence analysis that these data provide evidence of  
7 strength of association between chlorpyrifos exposures  
8 and neurodevelopmental effects in these studies.

9           In addition, small sample size also  
10 limits the analyses of potential confounding factors.  
11 In talking specifically about lead, in 2001, the Rauh  
12 paper reported that there was no correlation between  
13 lead, between the exposure chlorpyrifos and the  
14 neurodevelopmental outcome.

15           It's important to note, though, that  
16 they only examined lead in a subset of the population  
17 which was 34 percent of the study population. So this  
18 analysis was likely underpowered to detect an  
19 association because of the small sample size. And as  
20 a result, they didn't include lead in their final  
21 analysis. So the potential confounding by lead was  
22 not examined.

23           So next, I'd like to comment on the use  
24 of epidemiology data in health risk assessment. And

1 the EPA itself has acknowledged the important  
2 limitations of these studies are relying on these  
3 studies for regulatory purposes. And there was a  
4 letter by the former OPP Director Steven Bradbury that  
5 acknowledged these limitations.

6 And further, in the EPA's own draft  
7 framework in 2010, they state that there are several  
8 important factors to consider, including the  
9 characterization of exposure, ascertainment of  
10 disease, bias, confounding, data collection analysis  
11 and that it is important to evaluate the strengths and  
12 the limitations of epidemiologic studies when  
13 incorporating them into risk assessment. We feel that  
14 this has not been done. In EPA's failure to  
15 appropriately consider or address a number of these  
16 issues is contrary to the agency's draft guidance.

17 Next, I think that this slide does a  
18 very nice job of illustrating the importance of  
19 transparency and the availability of raw data. And  
20 Dr. Driver mentioned this in his presentation. But  
21 the EPA, as you know, is proposing to derive a point  
22 of departure on the basis of reductions in Working  
23 Memory Index. So this is from the 2011 Rauh paper.  
24 And the EPA has accepted the authors' conclusion that

1 there is an inverse linear relationship between  
2 chlorpyrifos exposure and Working Memory Index.

3 The authors' conclusions are based on  
4 the sparring regression analyses that are shown here  
5 in the figure to the left, which are superimposed over  
6 scatter plots.

7 So the point that I'm trying to make is  
8 that there's variability. You can't necessarily just  
9 look at these scatter plots and come to the conclusion  
10 that there's a linear relationship.

11 Access to the raw data is essential to  
12 an independent evaluation of the appropriateness and  
13 the interpretation of the regression model used in the  
14 conclusions that are drawn, given that a major  
15 decision that will be made on the basis of these data.

16 And then finally, just to mention, a  
17 few outstanding issues or concerns that the EPA has  
18 not adequately addressed regarding the use of  
19 epidemiology data. In 2010, they published their  
20 Draft Framework. The EPA has not responded to the  
21 2010 Advisory Panel recommendations or the public  
22 comments on this framework. Since it's been six  
23 years, we feel that the EPA must finalize this Draft  
24 Framework moving forward.

1                   Also, the two Advisory Panels that met  
2                   in 2008 and 2012, both expressed serious concerns  
3                   about using Columbia's study. But the yet the EPA has  
4                   not yet adequately addressed these questions and  
5                   concerns.

6                   And then most recently, the 2015  
7                   Literature Review on Neurodevelopmental Effects and  
8                   the FQPA Safety Factor Determination. The EPA relied  
9                   on this study to make regulatory decisions. And the  
10                  EPA is recommending a significant change in the  
11                  approach without consideration of limitations from the  
12                  lack of a full access to raw data from the studies  
13                  that cannot be replicated.

14                  And I believe my last slide is just to  
15                  go into a little more detail about the 2012 Advisory  
16                  Panel issues that have not been adequately addressed.  
17                  So on Table 13 of page 79 of your chlorpyrifos issue  
18                  paper, it outlines some of the limitations that were  
19                  identified by the panel, that has not been addressed.  
20                  So that includes modest sample size, the large  
21                  exposure differences needed to see significant  
22                  effects, the fact that exposures were taken at a  
23                  single prenatal time point and then issues with the  
24                  external generalizability of the cohorts.

1           The EPA will say that they did address  
2 these issues by the retention of the FQPA and the 10X  
3 intra-species factor. However, these limitations do  
4 suggest that the study has not met the necessary  
5 scientific criteria for a quantitative risk assessment  
6 in that by merely applying these safety factors does  
7 not improve any scientific underpinnings.

8           **DR. AMECHI CHUKWUDEBE:** Thank you. So  
9 my name is Amechi Chukwudebe. I'm a senior  
10 toxicologist currently at BASF Corporation. By way of  
11 training, I have a doctorate degree in toxicology from  
12 the University of California at Riverside, with a  
13 minor in organic chemistry and a Master's degree in  
14 toxicology from Simon Fraser University in Canada.

15           So I will start with something right up  
16 (inaudible). We always hear about the dose mixed  
17 poison. So we do have today a new paradigm shaped  
18 toxicity in the 21<sup>st</sup> century. Other areas of  
19 discipline have also migrated in that sense to more  
20 future looking. So we have the analyses exposure  
21 science in the 21<sup>st</sup> century which paraphrases exposure  
22 science as the collection and analysis of quantitative  
23 and qualitative information needed to understand the  
24 nature of contact between receptors such as people and

1 physical or chemical stressors.

2 So in this sense, exposure science  
3 strives to capture special and temporal dimensions of  
4 exposure effect with respect to acute and long-term  
5 effects on human populations.

6 So I can parse some of these long  
7 definitions. So the beginning of an assay system  
8 starts with the collection. And the collection  
9 itself, if we parse it to the way the Columbia study  
10 was conducted, that is the time, the method of  
11 analysis is already going to be factored in. So in a  
12 good collection system if they radioisotope dilution  
13 experiment were going to be the endgame, there should  
14 have been a sample spike at that level, which was not  
15 conducted in this Columbia study. The analysis must  
16 be tailored to the nature of the compound, whether  
17 it's persistent or not and the quantitative aspect has  
18 to be specific, precise, and the qualitative aspects  
19 also has to consider the biology of the system.  
20 Whether there are any excipients (phonetic) that can  
21 affect the quality of the analyte looked for or the  
22 dynamics. The nature of the receptor has to be looked  
23 into in terms of what is the probable mode of  
24 exposure? Is it inhalation; is it dermal; is it oral?

1 All these factors have to be taken into account. And  
2 so that in the end, exposure science, as applied  
3 today, is so important that it has to be under the  
4 purview of the biologist, not the chemist.

5 So chemical metrology in exposure  
6 science belongs with the biologist. It's not  
7 something you collect and then ship out to a chemist  
8 and then get information back. It's a whole  
9 continuous system.

10 Therefore, exposure science will then  
11 encompass properly at applied mechanisms and dynamics.  
12 And this is a very complex area like a crowded system  
13 with multiple exit ramps. And so to determine the  
14 validity or the contextual nature of these studies we  
15 need standardized conditions. And this, under best  
16 conditions, compares standard operating procedures.

17 So when we ask for transparency, for  
18 raw data it is not in terms of being nit-picking, it  
19 is to get the context because every valid matrix  
20 requires a context. Without which, the proper  
21 interpretation cannot be done.

22 So to begin with, we don't know whether  
23 there was an SOP involved in this and an SOP doesn't  
24 have to involve the terminal laboratory that did the



1 final study. It has to start from sample collection.  
2 And throughout the whole documents, there is no idea  
3 or indication that a Standard Operating Procedure was  
4 in place, which is really the bedrock of transparency  
5 of the raw data so that we can look back and see  
6 whether the conclusions make sense. So exposure  
7 science then is just like the scientific method. It  
8 comes with its own power. It also comes with its own  
9 limitations. We don't know the limitations of this  
10 study.

11 So continuing, sample collection and  
12 exposure analysis in biology require careful  
13 consideration, in terms of the validity of exposure  
14 analysis performed. So in this Columbia study, we  
15 have some concerns including whether the samples were  
16 collected without regard to biological dynamics.

17 So for example, the sampling of  
18 exposure in the chemical space for the pregnant  
19 mothers, some of the materials were lost, and there  
20 was no way, there was no evidence, that sample. The  
21 results also show that there was no correlation  
22 between presence of chlorpyrifos in the sampling space  
23 and systemic exposure.

24 Storage and shipping of samples is

1 something that's sounds rather prosaic. But it can be  
2 everything. So when the samples are collected, this  
3 is a compound that is, in many ways, can be considered  
4 an ester.

5 There are many factors that can study  
6 degradation process. The question becomes, if we are  
7 going to use an internal radio heavy isotope standard,  
8 that is the time to perform the spike in the  
9 fortification. That was not performed. And that's  
10 also the time to determine whether you'll need an  
11 inhibitor to stop the degradation process to keep the  
12 sample intact. And this can be over a period of a few  
13 minutes. We don't have any Standard Operating  
14 Procedure in place from the people collecting the  
15 samples, whether the sample has to be at room  
16 temperature for one minute or two minutes before we  
17 ship it out.

18 So this is a very blank space that we  
19 don't know about. So the samples were shipped. How  
20 long was it in transit? And when it got to the lab,  
21 what were the processes? We know that it was frozen  
22 prior to analysis. At what point was fortification  
23 performed? And if fortifications were performed late  
24 in the game, what was going to ensure that that's

1 homogeneity? Because using heavy isotopes, presumes  
2 sample homogeneity. And that homogeneity is best  
3 assured when the fortification is -- occurs at the  
4 time of sample collection.

5 And there were varying methodologies.  
6 Some of the methodologies used HPLC, some used gas  
7 liquid chromatographies. And the radioisotope  
8 dilution assay is a very good method, has very good  
9 sensitivity.

10 The linear response is not always  
11 given, in the sense that when you get a given number,  
12 it can also be influenced by the level of  
13 fortification, the level of the heavy isotope being  
14 spiked. So this is more like if you get a series of  
15 objects and you add another object with the same  
16 property, that this time would get color insistent  
17 that you can see.

18 So under ideal conditions, they close  
19 out the match between your fortification value and the  
20 unknown value in the analyte, the more precise your  
21 outputs will be.

22 So in this case, under the best  
23 conditions, this is something better, I see it more  
24 than once. Repeatability. We don't have any

1 indication that this was ever done in this study,  
2 because this is something that is so important that  
3 one support analysis is not enough.

4 **DR. JAMES MCMANAMAN:** Dr. Chukwudebe?

5 **DR. AMECHI CHUKWUDEBE:** Yes.

6 **DR. JAMES MCMANAMAN:** We're running a  
7 little short time.

8 **DR. AMECHI CHUKWUDEBE:** All right.

9 **DR. JAMES MCMANAMAN:** And you're a  
10 little bit over. So could you?

11 **DR. AMECHI CHUKWUDEBE:** Okay. I'll  
12 move it fast. So this is just a summary of what you  
13 to consider to be the clear digressions in the conduct  
14 of this study and the conclusions. So if you look at  
15 the indoor examples, some of the samples were lost and  
16 there's no correlation between the presence of  
17 chlorpyrifos in these samples and the presence in  
18 plasma or meconium or urine samples.

19 The stable isotope is used. There was  
20 no indication in these studies, the nature of the  
21 isotopes, whether it's carbon 13, nitrogen or  
22 deuterium. And we don't even have a sample of the  
23 chromatography because they can't even tell us whether  
24 they are square pegs. We don't know whether they were

1 procedural blanks used, because these blanks also  
2 assure that you don't have a mission drift.

3 And there are other things consistent  
4 with the chemistry of the analytes such as the  
5 presence of heavy metals that can influence the  
6 relative abundance of chlorpyrifos or the oxones.  
7 This was not taken into account. And again, the same  
8 thing with the urine samples. So there are very  
9 important chemical confounding factors whose presence  
10 together will help you to determine whether all  
11 important considerations have been considered.

12 And finally, on these exposure  
13 considerations, continuing, the lack of all these  
14 standard operating procedures is that the lack because  
15 it's not there or the lack because the others is  
16 considered it not important enough. It makes sense to  
17 us that this is no longer a case of uncertainty. It  
18 gets to the level of deficiency.

19 And because we have a crowded system  
20 with multiple exist ramps, it's no better than the  
21 flip of a coin at this stage. Because at this stage,  
22 this is not something that can be remedied by a  
23 standard uncertainty factor.

24 So to summarize here, there are many

1 significant details missing. Details on the dynamics  
2 and stability of the analyte whether it was  
3 considered, the kinetics, details on the matrix  
4 composition and whether contamination was actually  
5 factored in. The response linearity across biological  
6 phases, using radioisotope dilution assay has not been  
7 considered.

8 And if you go to page 79 of the review,  
9 so based on radioisotope dilution assay no conclusion  
10 can be made that that's a relationship across  
11 biophases, presence in the meconium or plasma or  
12 presence in the urine, because the RIDA assay, it's  
13 good on its own. It doesn't translate across  
14 biophases.

15 So to come back again, the centrality  
16 of exposure metronomics has not been given adequate  
17 strength in this study. So in the prosaic sense, we  
18 cannot determine whether the exposure being reported  
19 occurred before an effect being reported or vice  
20 versa. This is really a study where exposure has not  
21 been given due consideration. And in many cases, that  
22 should have been the central core before any other  
23 elements were being looked at. So exposure has not  
24 been demonstrated, and that is a fatal flaw for this

1 study. Thank you.

2 **DR. JAMES MCMANAMAN:** Thank you. Are  
3 there any questions for the CropLife presenters?  
4 Okay. Dr. Sagiv.

5 **DR. SHARON SAGIV:** This is Sharon Sagiv  
6 from U.C. Berkeley. Just a few comments from the  
7 beginning on the epi studies, just a few things I  
8 wanted to mention.

9 You mentioned that using epi studies  
10 risk assessment is probably the wrong path to take.  
11 But I just wanted to mention that there are some  
12 outcomes that we can't assess with animal studies. So  
13 maybe -- do you want to respond to that?

14 **DR. SARAH STARKS:** I don't want to say  
15 that epidemiology studies can't be used in risk  
16 assessment. In fact, I encourage their use.

17 **DR. SHARON SAGIV:** Mm-hm.

18 **DR. SARAH STARKS:** But I think that we  
19 do need other supportive studies, including animal  
20 studies. And in this case, there is a very large  
21 animal database available that has been excluded.

22 **DR. SHARON SAGIV:** Right. But do you  
23 recognize that there are some health effects that  
24 can't be measured in animals?

1                   **DR. SARAH STARKS:** I would agree with  
2 that. But I still don't think that a risk assessment  
3 should be based on a single human study.

4                   **DR. SHARON SAGIV:** Okay. The other  
5 thing and this has come up multiple times is this  
6 issue of exposure misclassification and that it  
7 sometimes does buy us away from the null. However, in  
8 the dichotomization that the Columbia study did, non-  
9 differential misclassification will always bias  
10 towards the null in a dichotomous exposure. If you  
11 had a three-level exposure or a multicategory  
12 exposure, then you could have bias away from the null.

13                   But in the case of a dichotomous  
14 exposure with non-differential misclassification, it  
15 will always attenuate your findings. So that --

16                   **DR. SARAH STARKS:** I disagree with  
17 that.

18                   **DR. SHARON SAGIV:** Well, that's in  
19 Rothman and Greenland and Lash, so I would prefer --

20                   **DR. SARAH STARKS:** There are a number  
21 of publications that do support that you do need to  
22 look at it. And you are right, that non-differential  
23 misclassification or misclassification of exposure  
24 will tend to bias towards the null. And I agree with



1 that, but there are cases where it does not.

2 **DR. SHARON SAGIV:** With a non-  
3 dichotomous exposure, it will always bias towards the  
4 null. It is a statistical fact. You can look in  
5 Rothman and Greenland. If you had three categories of  
6 exposure, say, they used textiles or something like  
7 that, then you can have bias away from the null and I  
8 can direct you to that source.

9 **DR. SARAH STARKS:** My understanding,  
10 though, is that if it's only with a univariate  
11 analysis, when you're not looking also at multiple  
12 covariates in your model and you have a very complex  
13 model, then that's not the case.

14 **DR. JAMES MCMANAMAN:** So this is meant  
15 to be getting clarification and it looks like there is  
16 disagreement.

17 **DR. SHARON SAGIV:** Okay. I'll stop  
18 there.

19 **DR. SARAH STARKS:** Okay.

20 **DR. JAMES MCMANAMAN:** Okay. Are there  
21 other questions of clarification? Okay. Hearing  
22 none, I thank you and we'll go on to our next  
23 presenter.

24 So our next presenter is Ellen Webb

1 from the Center for Environmental Health. Is Ellen  
2 Webb here? Is she out in back? So I think if she's  
3 not here, then we'll go on to the next presenter,  
4 Scott Slaughter, Center for Regulatory Effectiveness.  
5 Is Scott Slaughter here? We can just go to lunch.

6 **DR. JIM TOZZI:** I'm Jim Tozzi. I'm  
7 chairman of Center for Regulatory Effectiveness. We  
8 are a regulatory watchdog. And that means we look at  
9 compliance of agency proceedings with what we call  
10 good government statutes. The statutes that regulate  
11 the regulators.

12 Now we've been following this case, not  
13 because we have a particular interest in the substance  
14 at hand. But what we are looking at this is this is a  
15 precedent, we think, for the way the registration  
16 process and EPA is going to take place. So we're  
17 looking at this as a precedent-setting exercise a lot  
18 more than the merits of this particular registration.

19 Now, what to do we -- why is our  
20 concern in what we think should be done? Oh, here  
21 comes Scott. I'll finish.

22 **MR. SCOTT SLAUGHTER:** I apologize. I  
23 thought I was on later and I was out taking -- I had  
24 an emergency trip to the bathroom.

1                   **MR. JIM TOZZI:** Okay. All right. That  
2 was the emergency. So let me just finish. I thought  
3 It was an emergency. I didn't know how drastic it  
4 was. But in any event --

5                   **MR. SCOTT SLAUGHTER:** Human nature  
6 calls.

7                   **MR. JIM TOZZI:** Several times. So  
8 anyway. Scott --

9                   **DR. JAMES MCMANAMAN:** So that should be  
10 clarify then, right?

11                   **MR. SCOTT SLAUGHTER:** And I don't want  
12 any clarify questions either about this.

13                   **MR. JIM TOZZI:** It probably is  
14 statistically significant. But in any event, before I  
15 turn it over to Scott, the bottom line -- and Scott  
16 will add to it -- is that when other agencies are  
17 going to shift a huge paradigm in the regulatory  
18 process, they generally go through a very extensive  
19 rulemaking or notice and comment process. And we work  
20 in every agency. And let me assure you that, for  
21 example, the Federal Communications Commission, when  
22 they allocate who gets spectrum length, for issues for  
23 years, they would give four or five criteria. People  
24 would apply and the staff would rank who got the

1 spectrum. But recently, they changed. They changed  
2 to an option process and that option process was  
3 debated extensively on the record. There was notice  
4 and comment, there were interactive public documents.  
5 There were comments by the best minds of both sides.  
6 And then there was a shift, somewhat of a shift, in  
7 the paradigm.

8 So what Scott's going to explain to you  
9 in the other eight-and-a-half, nine minutes is that we  
10 think that there should be a moratorium on the use of  
11 epidemiology studies until which time EPA goes through  
12 this process of notice and comment and opens a docket  
13 on the role and the critical role that epidemiology  
14 should play in the conduct of these studies. Now,  
15 Scott. Now that I got you to the emergency, you'll  
16 finish.

17 **MR. SCOTT SLAUGHTER:** Well, I can't  
18 really follow up very well on Jim's excellent  
19 presentation, although I would like to note that in  
20 2010, EPA had a draft framework for conducting epi  
21 studies in this context. And it was reviewed by an  
22 SAP, as had been pointed by several other commenters  
23 today. And it seems to have disappeared. I mean,  
24 what the agency should concentrate on doing, in our

1 opinion, is coming out with a final framework that,  
2 you know, it tells people how you should use epi  
3 studies and incorporate epi studies during pesticide  
4 decision -- registration decisions and risk  
5 assessment. And why the agency hasn't followed up on  
6 2010 framework and the SAP review of it after six  
7 years is a mystery to me. Perhaps, they can explain  
8 it.

9 I also want to -- and we think that the  
10 Department of Agriculture probably agrees with us,  
11 because they filed comments on -- and one of the many  
12 proceedings at EPA having to do with registrations of  
13 OPs. And if I -- and I'm quoting their comments  
14 correctly when I'd say that agriculture said that,  
15 quote, "If epidemiological studies are to form the  
16 basis of the FQPA factor, a standard operating  
17 procedure is needed," close quote. We agree  
18 completely. It is totally absent here. And if there  
19 were one, you know, a lot of the problems being  
20 agonized over it at this panel might not even have  
21 occurred or might not occur in the future. And this  
22 will not be the last time that epi studies are an  
23 issue in pesticide registrations and assessments.  
24 They will be used for the other OPs, in all

1       likelihood, and there will be other pesticide  
2       registration, where they are a very significant issue.

3               So what you guys are doing is very  
4       important. It's precedential. And it's not going to  
5       be applicable just to chlorpyrifos, although it will  
6       be applicable to chlorpyrifos, but it will also, you  
7       know, establish a precedent framework basis for the  
8       future registration and use of epi data in pesticide  
9       registrations. And you know that's an important  
10      concept and we don't think it should be made on the --  
11      it should be decided on the database available to you  
12      guys because it's a really lousy record, to be frank.

13              Which gets me to my second comment,  
14      which is that if EPA makes a pesticide registration  
15      for chlorpyrifos, using a PoD based on the Columbia  
16      study, it'll violate the Information Quality Act. The  
17      Information Quality Act is a federal statute that  
18      requires certain data quality standards for federal  
19      agencies and the information they violate.

20              And using the Columbia study, I'm not  
21      going to try to repeat all the technical discussion of  
22      all the flaws, questions and uncertainties that have  
23      certainly not been answered, as far as I can tell,  
24      about the Columbia studies. But using at this point

1 in time, based on this record before you guys, if the  
2 EPA used those studies to, you know, establish a PoD  
3 based on -- the Columbia studies based on  
4 neurodevelopment, they didn't violate the IQA because  
5 -- let me give you some examples.

6 One, the epi studies do not adequately  
7 address the possibility of confounding and adverse  
8 effects from other compounds capable of causing or  
9 contributing the observed neurological outcomes.

10 Two, these epi studies are not adequate  
11 to assess dose-response relationships. Three, these  
12 epi studies do not have adequate statistical power.  
13 And four, and I'm going to emphasize this one, these  
14 epi studies do not disclose their raw data.

15 If EPA bases a chlorpyrifos decision on  
16 the Columbia studies without disclosing all the  
17 relevant raw data from those studies, then it will  
18 violate a federal statute, it will violate OMB's  
19 binding government-wide guidelines implementing that  
20 statute and it will violate EPA's own guidelines  
21 implementing that statute. And I think one of the  
22 panel members earlier asked if there was any kind of  
23 requirement that EPA disclose the raw data. The  
24 answer is yes, there is.

1 My final point is, oh, I do also want  
2 to mention that the prior SAPs and reviews, the  
3 chlorpyrifos issues in 2008 and 2012 and 2010  
4 emphasize the importance of data quality. And the epi  
5 study, if you're going to regulate on an epi study,  
6 you really have to focus on what kind of -- how good  
7 that study is. You know, you have to look at all the  
8 problems available. For example -- I'm moving around.

9 I do have on other comment and that  
10 other comment is EPA has not allowed adequate time for  
11 this SAP. Other people have mentioned it and other  
12 people who, you know, put together far more complex  
13 technical documents and presentations than we have  
14 have mentioned it. But if you look at the record, the  
15 public record for it, this SAP started April 19<sup>th</sup>. The  
16 first entry I saw with any kind of EPA supporting data  
17 was April 1<sup>st</sup> and they continued to add stuff in  
18 through the 15<sup>th</sup> and we -- for all I know, there may be  
19 some added today.

20 There are thousands of pages of highly  
21 technical documents in there. This is an issue of  
22 extreme importance, both to the manufacturers of  
23 chlorpyrifos and to the other OP and pesticide  
24 manufacturers and to the general public who are going



1 to be affected by whatever happens to this regulation.  
2 And you know, there just hasn't been adequate time  
3 afforded to anyone to prepare and discuss this -- for  
4 this important discussion.

5 And you know, I'd like to conclude that  
6 it appears that EPA thinks that the court order has,  
7 in some way, dictating what the agency has to do here.  
8 If that is the agency's thought process and if that's  
9 why the agency is doing what it's doing, then it's  
10 surrendering its substantive decision-making authority  
11 and role and purpose and duty to court orders and  
12 environmental group litigation. And that's just  
13 wrong. And a court order, as people pointed out, does  
14 not require this SAP or EPA to decide what to do about  
15 epi data, in general and in particular, by whatever  
16 the court order date is.

17 And in conclusion, we think pesticides  
18 should be regulated on science in a transparent  
19 process, not court orders and not missing data, and  
20 right now that's what's happening. Thank you very  
21 much.

22 **MR. JIM TOZZI:** Mr. Chairman, having  
23 chaired a number of these meetings, I know the  
24 timeframe and I would just like to add one concluding

1 point. We're not health scientists. This is not our  
2 first time at the rodeo.

3 Scott was a chief litigator in the  
4 Department of Justice for a number of years. And I  
5 worked for five presidents, President Johnson through  
6 President Reagan, and I started a regulatory office in  
7 the White House. So we're not bringing scientists, we  
8 don't pretend to be scientists, but we know the  
9 process and this process has to be looked at. And its  
10 precedent-setting portions of that process, we think,  
11 needs to be examined. Thank you.

12 **MR. SCOTT SLAUGHTER:** And one other  
13 thing. What I have done and Jim has done, too, is sit  
14 through a lot of science advisory panels. And we can  
15 sincerely say that we think this is a very, very  
16 important body that makes very, very important  
17 decisions and plays an incredibly important role in  
18 the regulatory process. And we thank you very much  
19 for listening to us today.

20 **DR. JAMES MCMANAMAN:** Thank you.

21 **MR. SCOTT SLAUGHTER:** Any questions?

22 **DR. JAMES MCMANAMAN:** Any questions?

23 All right. Hearing none, I think we'll break for  
24 lunch for an hour.

1 (Whereupon, at 12:15 p.m., a lunch recess was taken.)

2 DR. JAMES MCMANAMAN: Welcome back from  
3 lunch. I think we'll go on with our next public  
4 commenter, Elliot Gordon.

5 DR. ELLIOT GORDON: Good afternoon. I  
6 hope everyone had a good lunch. Elliot Gordon, I'm a  
7 consultant, toxicology. I have a Master's from  
8 Adelphi University in Biology, Ph.D. from NYU in  
9 Environmental Medicine.

10 I started back in '72, 44 years ago, at  
11 the Frederick Cancer Research Center and have since  
12 worked at various contract labs and various  
13 registrants. I've been a consultant since 2005 and  
14 one of my clients is Adamov based in Israel, and they  
15 do have chlorpyrifos.

16 After some of the talks this morning, I  
17 was thinking of Abraham Lincoln and his Gettysburg  
18 Address, because the previous talks were very  
19 substantive and long. Mine is rather short. So I'm  
20 comparing it to the Gettysburg Address in length only.

21 I would like to comment on one specific  
22 aspect of your review and that is the agency's  
23 judgment that the Columbia study is scientifically  
24 credible and relevant for the purpose of pesticide

1 risk management.

2 In Jacks' -- Jack is here -- in Jack's  
3 letter to Cindy Baker Smith requesting postponement of  
4 the SAP, it was pointed out that the Columbia study is  
5 published, peer reviewed, researched, EPA concludes,  
6 represents sound, relevant science. I would like to  
7 add a bit of body language to the term "peer review."  
8 I suggest to the panel that peer review does not, on  
9 its own, assure that a study is properly conducted,  
10 accurately interpreted or fit for purpose.

11 The panel is aware of a bit of  
12 controversy surrounding the absence of the raw data  
13 from this study. These data would provide access to  
14 third-party review.

15 I've conducted peer reviews and I'm  
16 sure you have also. But as is customary and usual,  
17 raw data are not provided to us. I rely on the data  
18 presented in tables and graphs and take them at face  
19 value as accurate reflections of the raw data. And as  
20 Jeff Driver said earlier, summary data alone the  
21 agency doesn't accept.

22 After completing peer reviews, my  
23 journalist sends me copies of other reviewers'  
24 comments. Some are in depth, some are superficial.

1 One of the guidelines stressed by publishers,  
2 Elsevier, for example, is to be sure one is qualified  
3 by training and experience to function effectively as  
4 a peer reviewer.

5 Finally, there is the issue of conflict  
6 of interest. Dr. Popendorf's question earlier  
7 suggested that -- when he asked Dow, did you support  
8 these studies? There is -- the literature is rife  
9 with discussions of conflicts both financial and  
10 otherwise.

11 Put these concerns together. The  
12 possibility of a superficial review, the need to have  
13 qualified, competent reviewers, the possibility of  
14 conflicts of interest and the absence of raw data.  
15 Collectively, they suggest the panel obtain and study  
16 these peer reviews. Are they in-depth or superficial?  
17 Do the reviewers have requisite training and  
18 experience? Is there or isn't there a potential for  
19 conflict of interest?

20 We know, however, that it is unlikely,  
21 to say the least, that these reviews would be made  
22 publicly available. Accordingly, the importance of  
23 independent review of the raw data rises to a higher  
24 level. The proposal by the agency to regulate these

1 epidemiology studies effectively abandons years of  
2 research. Research that has established, credible,  
3 adverse outcome pathway for organophosphate compounds.  
4 It is clearly a monumental shift in pesticide risk  
5 management. It shouldn't be taken without full  
6 assurance that the studies upon which this paradigm  
7 shift rests are rock solid.

8 I prefer to have the raw data  
9 independently validated and suggest to the panel that  
10 you consider this course also. Absent a third-party  
11 independent credible review of the raw data, the  
12 agency's proposal appears premature. I thank you and  
13 we'll answer any questions you may have.

14 **DR. JAMES MCMANAMAN:** Any questions for  
15 the speaker from the panel?

16 **DR. ELLIOT GORDON:** Thank you very  
17 much.

18 **DR. JAMES MCMANAMAN:** Thank you. The  
19 next presenter is Jennifer Sass from Natural Resources  
20 Defense Council.

21 **DR. JENNIFER SASS:** Hello and thank you  
22 very much. I'm a Ph.D. scientist in the Health  
23 Program with the NRDC, Natural Resource Defense  
24 Council, which is an environmental group. I'm located

1 here in Washington, D.C., and I'm also a professorial  
2 lecturer at George Washington University in the  
3 Occupational and Environmental Health Department.

4 The comments that I'm presenting today  
5 are being handed out to you, as we speak and also have  
6 been electronically sent to the DFO and hopefully,  
7 will be put in the docket. And I would be happy for  
8 our audience to email them to whoever would like to  
9 see them, because they may not appear in the docket  
10 for a little while. Sometimes that happens.

11 They are on behalf of NRDC, my  
12 organization, as well as Farmworker Justice, Earth  
13 Justice, United Farmworkers, California Rural Legal  
14 Assistance Foundation and Pineros y Campesinos Unidos  
15 del Noroeste.

16 I'm going to touch very briefly on just  
17 the main points of the comments, because they're 11  
18 pages long.

19 First of all, in summary, we support  
20 EPA's approach here and EPA's recommendations. The  
21 SAP builds on prior SAP reviews, which EPA summarized  
22 in some of its comments in response to SAP questions  
23 yesterday. We're very supportive that EPA has used a  
24 systematic review process to complete transparent and

1 comprehensive literature reviews for the data that it  
2 reviewed, including the study that it's reliant upon.

3 We also support the EPA's retention of  
4 the Food Quality Protect Act, the 10X FQPA Safety  
5 Factor. We are also supporting the use of the  
6 Columbia Study data as the derivation of the endpoint  
7 that's used for its risk estimate. Had EPA continued  
8 to use the 10 percent cholinesterase inhibition, as a  
9 point of departure, as it's had in 2014 Revised Human  
10 Health Risk Assessment, we were opposed to this,  
11 because that point of departure in the face of EPA's  
12 findings and not just the Columbia study, but other  
13 studies as well.

14 Other epidemiologic studies of pre-  
15 birth exposed children show that that measurement,  
16 that point of departure resulted in damage to  
17 children's brains at far lower doses. And so then, it  
18 would not be -- EPA's decision would not be  
19 sufficiently protective. Here EPA is now proposing to  
20 correct that flaw.

21 We also support the use of cord blood  
22 data to develop point of departure. It was thorough  
23 and rigorous and in keeping with agency policies. I'm  
24 sort of whipping through pretty quick and I'm on page



1 4, moving to page 5 now. But there's more underneath  
2 there.

3 The agency does provide the code needed  
4 to run the simulations in the PBPK model and we  
5 support that level of transparency. We believe that  
6 EPA's conclusions that they can reliably estimate  
7 blood levels of chlorpyrifos for females of child-  
8 bearing age from these data and that the blood levels  
9 of the mother are a reasonable surrogate for the  
10 fetus. This is your Charge Question 1a. We do  
11 support that presumption.

12 The agency on page 6, Charge Question  
13 5b, the agency's use of the benchmark dose approach to  
14 drive the point of departure is consistent with data  
15 indicating that the associations of chlorpyrifos  
16 exposure with Working Memory effects is continuous  
17 with no apparent threshold. And we support EPA's use  
18 of those data to develop the BMDL.

19 Charge Question 5c, we are also  
20 supporting the retention of the 10X and the point of  
21 departure as a 2 percent reduction in Working Memory  
22 corresponding to the internal BMDL dose.

23 We're supporting the use of the 10X  
24 default on intra-species uncertainty factor, Charge

1 Question 6a. And in addition, uncertainties that  
2 support the 10X FQPA, as I said, Charge Question 6b  
3 and c.

4 So page -- well, it's 7, going on to  
5 page 8, there's some tables there that we've pulled  
6 from the EPA assessment. Just to highlight that there  
7 are exceedances in exposures exceeding the reference  
8 dose for basically all of the percentiles of exposure  
9 at all time periods considered, ranging from 155  
10 percent at 24 hours, post-exposure in the low  
11 percentile of exposures to 32,000 percent exceedances  
12 for the maximum chlorpyrifos blood levels and the  
13 highest percentile of exposures.

14 It's very large -- the same with the  
15 drinking water. The exposures are deemed to be  
16 unsafe. They're exceeding in all scenarios considered  
17 for both infants and women of child-bearing age and  
18 they're exceeding by thousands of percent.

19 On the last table, page 10, the  
20 occupational exposures, are also unsafe and also  
21 exceeding the MOEs are very low. A hundred is an MOE  
22 that is -- an MOE of less than 100 poses a risk of  
23 concern and none of the MOEs from this analysis even  
24 come close to a hundred. Many are below 1, revealing

1 orders of magnitude of risk greater than acceptable  
2 levels.

3 And some of the speakers, Virginia Ruiz  
4 is going to come shortly after me here. She's with us  
5 here to talk about exposures to farmworkers. We have  
6 other speakers, too, that can talk about the reality,  
7 the real-world exposures that people experience.

8 But there -- I think what the  
9 epidemiology again, not just the Columbia study, but  
10 half a dozen different studies demonstrates is that  
11 it's not being used safely. So -- and I don't think  
12 it can be used safely. But clearly, people are being  
13 exposed at unsafe levels and I think that that is what  
14 is concerning the registrants and the manufacturers so  
15 much is that the epidemiology proves that it's not  
16 being used safely. There's people that are being  
17 exposed and they're having effects and that should be  
18 very concerning.

19 I have a few more minutes, am I right?  
20 I want to take just a very quick minute -- a few  
21 minutes to touch on some of the points from the  
22 earlier speakers today.

23 One was the small sample size. I've  
24 been sitting in this room for 15 years, commenting on

1 pesticides -- sometimes sitting around the table,  
2 sometimes in the audience -- I've never a sample size  
3 as large as this epidemiology study. Usually, it's  
4 rat studies and usually it's single or double-digit  
5 rats.

6 I mean -- and the variance, when you  
7 measure a 10-percent cholinesterase inhibition and you  
8 look at the variability in those data, it's way more  
9 variable than the data that we're looking at here. So  
10 I don't accept that there's a small sample size. I'm  
11 sorry. And the statistical corrections -- the  
12 statistical analysis that they do is appropriate for  
13 the sample size they've done.

14 So potential confounders, there was a  
15 lot of discussion about potential confounders, so I  
16 think it's important. And the panel has far greater  
17 expertise than I do. So just remember what a  
18 confounder is. It's not everything that anybody can  
19 think of to throw at the wall that occurs somewhere in  
20 the world at the same time as this study is being  
21 done. It needs to track the thing you're measuring  
22 and there needs to be some reason why it would be more  
23 in the group that's exposed than an unexposed group.  
24 I made a list, but I'm not going to go through them.

1                   Exposure misclassification was already  
2 dealt with. The variability, I don't think you can  
3 comment. I don't think anybody could seriously  
4 comment on the variability in this study without  
5 taking a look at the studies that EPA was using in  
6 2014. Some of the inputs were based on single rats,  
7 the 10 percent cholinesterase inhibition into Dow's  
8 model.

9                   So I guess that's no variability,  
10 right, when you're using one rat. But it's certainly  
11 not stronger than these data. There's some missing  
12 details. Missing details does not equal uncertainty.  
13 And it certainly doesn't equal inaccuracy. It does  
14 suggest that there might be less public transparency  
15 than the registrants would like. But to conclude from  
16 that, that exposure has not been demonstrated is not  
17 accurate.

18                   The precedent-setting idea of wanting a  
19 moratorium on the use of epidemiology, I mean, my  
20 first thought was, wow, OSHA wouldn't be able to do  
21 anything. And then I remembered sadly that OSHA isn't  
22 actually doing anything. So that was kind of a sad  
23 moment for me. But -- so I'll be commenting on in a  
24 different form.

1                   But we do actually have standard  
2                   operating procedures for epidemiology and we've had  
3                   them for longer than we have for almost every other  
4                   discipline. Bradford Hill came up with his criteria  
5                   in 1965 that predates EPA. So for sure, the  
6                   epidemiology can be held up against standard ways of  
7                   assessing these data and they have and they've been  
8                   published and they've been followed through.

9                   So I think the real problem is that the  
10                  epidemiology is showing harm in real people in the  
11                  real world. So it's really hard to argue that it's  
12                  not at that point.

13                  And then my last comment is going to be  
14                  the idea the EPA is moving too fast. Well, NRDC has  
15                  had a history on this chemical for over 25 years.  
16                  NRDC, my organization, we're the litigators behind the  
17                  court order to set a deadline for EPA to make a  
18                  decision on this. And we've been doing that for 25  
19                  years.

20                  The reason why we're able to go to  
21                  court is because we're able to show that the decision  
22                  took so -- that EPA was dragging its feet on this.  
23                  The "E," actually stands for environment in  
24                  Environmental Protection Agency, so we actually don't

1 think that following court orders by environmental  
2 groups that are litigating is actually inconsistent or  
3 a problem. We actually think the Environmental  
4 Protection Agency should be protecting the  
5 environment.

6 And we think that the "P" doesn't stand  
7 for protect -- protracted decision-making, that it  
8 stands for protection of the environment. And we are  
9 very supportive of what EPA is trying to do here and  
10 now. We certainly don't think it's too fast and it  
11 wasn't fast enough for all the cases in the  
12 epidemiologic studies that are presented to you today.  
13 Thank you.

14 **DR. JAMES MCMANAMAN:** Thank you. Any  
15 questions for this presenter? Okay, Dr. Popendorf?

16 **DR. WILLIAM POPENDORF:** Thank you for  
17 the presentation. Dr. Popendorf here. Just had two  
18 questions.

19 **DR. JAMES MCMANAMAN:** Dr. Popendorf,  
20 could you use the -- get closer to the microphone?  
21 Thank you.

22 **DR. WILLIAM POPENDORF:** Two questions  
23 of clarification. It seemed like somewhere around  
24 maybe page 5, you indicated you support the use of

1 maternal blood, as well as cord blood. Is that my  
2 understanding? I didn't see that in here, but maybe  
3 it is.

4 **DR. JENNIFER SASS:** Oh.

5 **DR. WILLIAM POPENDORF:** Maybe I  
6 misheard it.

7 **DR. JENNIFER SASS:** It was on page 5.  
8 It's the paragraph with the little bold, that says,  
9 Charge Question 1a. And it says that EPA has  
10 concluded that they can reliably estimate blood levels  
11 for females of childbearing age and that the blood  
12 levels of the mother are a reasonable surrogate for  
13 the fetus.

14 **DR. WILLIAM POPENDORF:** Okay.

15 **DR. JENNIFER SASS:** And that we agreed  
16 with that.

17 **DR. WILLIAM POPENDORF:** Okay.

18 **DR. JENNIFER SASS:** It's a little hard  
19 because I jumped around so much, and I'm really sorry  
20 about that.

21 **DR. WILLIAM POPENDORF:** Yes.

22 **DR. JENNIFER SASS:** That's the problem  
23 with coming with 11 pages of comments.

24 **DR. WILLIAM POPENDORF:** Yeah. I didn't



1 have my mock meter with me, but that was -- the other  
2 question, on Table 1, these are the percents of  
3 overexposure. In your referenced dose, is that the  
4 proposed reference dose, the newly proposed?

5 **DR. JENNIFER SASS:** That's correct.  
6 Yes.

7 **DR. WILLIAM POPENDORF:** Okay. Thank  
8 you.

9 **DR. JAMES MCMANAMAN:** Dr. Ehrich.

10 **DR. MARION EHRLICH:** Okay. Marion  
11 Ehrich, Virginia Tech. Do you have any idea how small  
12 even 2 pg/g is? And there -- this is .022. That's a  
13 100 for less. I mean, 1 part per trillion is 10 to  
14 the minus four drops per 10-gallon swimming pool.  
15 That's very, very small. And yet, there was a  
16 statement made, this can be reliably estimated. And  
17 that's only been done in one laboratory.

18 Does -- this is a concern with the  
19 analysis, if we're basing things on such low, low  
20 levels. And how can you regulate it, if you can't  
21 measure it? A lot of these things, even in your Table  
22 1, are below the level of detection. So how do you  
23 come up with --

24 **DR. JENNIFER SASS:** I mean --

1                   **DR. MARION EHRICH:** -- you make it  
2 sound like these exposures are so great. And that --  
3 and they're not measurable, even at the, what you  
4 call, the exceeding the RfD doses.

5                   **DR. JENNIFER SASS:** So one thing is  
6 these aren't my numbers, right. We know that. Yeah,  
7 so, I mean, your question is how can you regulate  
8 something that you can't measure? We're asking for a  
9 ban, just so you know. We're not asking that EPA  
10 regulate at this level. We're asking that people not  
11 -- that chlorpyrifos not be available to people.  
12 That's our position.

13                   Our position is that we don't think  
14 that the data demonstrate that there is a safe level.

15                   **DR. MARION EHRICH:** But you also said  
16 that you thought that the estimations were -- that was  
17 on that statement that you just read that the  
18 estimations were reliable. That was on page 5, that  
19 they can reliably estimate blood levels of  
20 chlorpyrifos.

21                   **DR. JENNIFER SASS:** Yeah. Well,  
22 because they are measuring. I mean, they are -- those  
23 studies did do measurements and they -- the Columbia  
24 study did do blood measurements including cord blood.

1 So those are the reliable measurements that we're  
2 talking about and that's what EPA is using to base  
3 this. What you're -- the pg/g is a .022, the  
4 reference dose is not a measurement. The reference  
5 dose is not a direct measurement, right, there's some  
6 sort of new factors and things built into that.

7 So the statement earlier where I said I  
8 think their measurements were reliable and the study  
9 is robust comes from the measurements. The  
10 exceedances are the numbers then converted to risk  
11 estimates with uncertainty factors. And again, we're  
12 not asking EPA to regulate at this level. Our  
13 position is a ban. We don't think that there's a safe  
14 level.

15 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
16 Sagiv.

17 **DR. SHARON SAGIV:** Sharon Sagiv from  
18 U.C. Berkeley. I just wanted to make one distinction  
19 and that is that just because the tools we have can't  
20 measure levels that are not -- that are that low. It  
21 doesn't mean that they're safe.

22 So I'm not saying that they're not --  
23 that they're causing harm. But I just think we need  
24 to make a difference there between our ability to

1 measure something, which I think the panel is coming  
2 to -- it seems to be coming up over and over again  
3 that the measure is questionable, because the levels  
4 are so low. That's a different issue than whether or  
5 not the effect of the chemical is there or if it's  
6 safe. So I just wanted to -- I'm not arguing for or  
7 against. But I just want to make that distinction.

8 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
9 Terry.

10 **DR. ALVIN TERRY:** Just out of  
11 curiosity, what is the position of your organization  
12 on any organophosphate?

13 **DR. JENNIFER SASS:** We have asked for -  
14 -

15 **DR. JAMES MCMANAMAN:** We -- this is  
16 about clarification. We're not --

17 **DR. ALVIN TERRY:** That's not -- not  
18 available to question -- all right.

19 **DR. JAMES MCMANAMAN:** Yeah, yeah.

20 **DR. JENNIFER SASS:** -- consistent with  
21 chlorpyrifos.

22 **DR. JAMES MCMANAMAN:** It's got to be  
23 chlorpyrifos. Dr. Pessah.

24 **DR. ISAAC PESSAH:** So Isaac Pessah,

1 University of California, Davis. So I'd kind of like  
2 a clarification of how you define reliability or  
3 reliable because you've used that term over and over  
4 again, with respect to the measures.

5 **DR. JENNIFER SASS:** I mean, I think  
6 that the study was conducted using reliable methods.  
7 I think that the sample collections followed standard  
8 procedures. We -- you guys went through that  
9 yesterday. I was with CDC, did the measurements. You  
10 called Dina Barr who explained how they handle them.  
11 And so I'm trusting in that process, that -- I don't  
12 see how it could be any worse than anything else EPA  
13 uses to regulate a pesticide. I do have to tell you  
14 that. That's how they do these studies. They have  
15 rats, they take samples, they process it. It's  
16 usually all done by the registrant. I think this  
17 study followed those procedures. They've published  
18 it, they've made it available. Their procedures are  
19 used in other studies. So that's my definition.

20 **DR. ISAAC PESSAH:** Just a follow-up.  
21 So in your view, reliability does not equate to  
22 reproducibility or demonstrated reproducibility, given  
23 that this is one study?

24 **DR. JENNIFER SASS:** Well, I think

1 reliability would include reproducibility, but this  
2 study has been followed up several times and it's also  
3 consistent with other studies that are also published,  
4 like the CHAMACOS study that have also done.

5 **DR. ISAAC PESSAH:** The CHAMACOS did not  
6 measure chlorpyrifos, though. And this study is based  
7 on chlorpyrifos measurements which has been stated to  
8 be amazingly low, amazingly, from an analytical  
9 perspective. And has that been reproduced or  
10 replicated, I should say?

11 **DR. JENNIFER SASS:** Yeah. I believe  
12 that the other -- that there are other epidemiology  
13 studies that have measured chlorpyrifos. And that  
14 this study has a large sample size and that they went  
15 through standard procedures working with the CDC,  
16 which you guys have raised questions about and which  
17 they've published.

18 So I have to trust in the process.  
19 It's not my study. But I feel very comfortable with  
20 it and I feel more comfortable with it than I've ever  
21 felt by any, you know, rodent study that's come before  
22 the pesticide office to base a pesticide regulation on  
23 that was conducted by the registrant on no single or  
24 double-digit animals.

1 DR. JAMES MCMANAMAN: Okay. Other  
2 questions? Okay. Hearing none, thank you very much.

3 DR. JENNIFER SASS: Thank you.

4 DR. JAMES MCMANAMAN: The next  
5 presenter is Michael Goodman from Exponent.

6 DR. MICHAEL GOODMAN: Good afternoon.  
7 Thank you for speaking with me today. My name is  
8 Michael Goodman and I'm not from Exponent. I actually  
9 am an associate professor with --

10 DR. JAMES MCMANAMAN: Oh, you're --  
11 Exponent, huh?

12 DR. MICHAEL GOODWIN: -- of  
13 epidemiology at Emory University School of Public  
14 Health.

15 DR. JAMES MCMANAMAN: Okay. How do I  
16 advance those slides, which probably would be a good  
17 idea? By way of introduction, full disclosure, I did  
18 work for Exponent some 15 -- 13 years ago and left for  
19 academia. And then, a few weeks ago, they asked me to  
20 join a -- the review panel and share my thoughts along  
21 with other colleagues on this process.

22 And so, what I'm here today on behalf  
23 of three other of my colleagues that represent  
24 different areas of expertise. I'll tell them [sic]

1 who they are in a minute.

2           And I think there've been quite a bit  
3 of declarative statements made today. I would like to  
4 change the tenor a little bit and proposed this  
5 discussion. I'll try to do it in 15 minutes in the  
6 form of questions, rather than necessarily  
7 prescriptive statements.

8           And those questions that I would ask  
9 myself, if I were in the panel situation. So the  
10 overarching question, and that's what we would call  
11 our little presentation is: When are observational  
12 epidemiology data suitable for quantitative risk  
13 assessment and setting a point of departure?

14           As I said this, I need to give you full  
15 disclosures. I already mentioned that I worked for  
16 Exponent more than a decade ago. I don't work with  
17 them anymore. Exponent convened the working group  
18 that met over a period of a few days and exchanged  
19 follow-up emails and drafted a document that should be  
20 on the docket. And the money for this group was  
21 provided for CropLife America.

22           These are my colleagues. I am the one  
23 listed first and followed by Judy LaKind who is  
24 exposure assessment, the risk assessment expert.



1 Jennifer Seed is a former APA employee. She's a risk  
2 assessment, mode of action, developmental biology  
3 expert. And Michael Dourson is at the University of  
4 Cincinnati. He's a toxicologist.

5 I'm here because most of the  
6 conversation revolved around epidemiology, so I'm kind  
7 of stuck presenting.

8 Again, just to reiterate, it's more of  
9 a series of questions, rather than declarative  
10 statements. But I think these questions are important  
11 and these questions I would ask myself and I think I  
12 would invite you to think about those, as well. I do  
13 not always have an answer, but I think these questions  
14 need to be thought of.

15 So the overarching question, really in  
16 our mind and in my mind and the mind of my colleagues  
17 falls into three categories: quality and quantity of  
18 the data -- of the evidence. Hazard and dose-response  
19 and then finally, PoD.

20 And there is no controversy here. When  
21 reviewing observational epidemiological studies, which  
22 are, by the way, hard to do, take a lot of time, a lot  
23 of effort, one needs to consider four or five or maybe  
24 six or seven, depending who you ask, issues.

1                   Number one issue is design, whether or  
2 not design is appropriate for a question. Number two  
3 is measurement era, both with respect to exposure and  
4 outcome and I should add, with respect to covariates,  
5 whether they are confounders or mediators or effect  
6 modifiers. Those can also be misclassified.

7                   And then issues of analysis, internal  
8 consistency and finally external consistency, which is  
9 a very important issue in observation with  
10 epidemiology. We build evidence, brick by brick.  
11 That's how it works in nutritional epidemiology.  
12 That's how it works in clinical trials of drugs. This  
13 is how it works in comparative effectiveness research.  
14 This is how it works within the issues of health  
15 disparities. A totality of evidence is important to  
16 consider. That's why we will write literature reviews  
17 and try to do it systematically. That's why we do  
18 things like net analysis, for example.

19                   Now I should say that all of those  
20 things in this day and age, I would say in the last  
21 decade or so, there have been a movement towards  
22 trying to systematically lay out the steps of  
23 evaluating each study and perhaps the body of  
24 evidence. We have that for, say, genetic studies.

1 Usually have a funny acronym of some sort. There is  
2 one for diagnostic tests. There is one for clinical  
3 trials, like CONSORT. There is one for observational  
4 studies of various designs. The checklist or you may  
5 call them wish lists, if you will, of experts. But  
6 nevertheless, these are the things that people  
7 consider. And it's good if folks are on the same  
8 page. Now no wish list ever satisfies everybody. But  
9 it's good to have some kind of agreement of what  
10 constitutes things that one to needs to think about in  
11 evaluating epidemiologically, particularly  
12 observations with neurological evidence.

13 Now to some extent, we're fortunate  
14 that we have at least a half a dozen publications that  
15 came out in the last 10 years -- none about  
16 chlorpyrifos at all, but about issues that are very  
17 relevant to this discussion.

18 And now, you know, again, full  
19 disclosure, both Judy LaKind and I happen to be co-  
20 authors on this -- all of those papers are going to  
21 show you. Now, there may be others out there, but  
22 these are the ones that I know. These are the ones  
23 that I co-authored with a lot of people from different  
24 walks of life. You can see there are people from EPA,

1 NIH, various sectors of academia.

2           There may be some people from industry  
3 as well. So I'll go through them very quickly. The  
4 very first one came out 10 years ago and that was at  
5 Hershey Technical Workshop on Optimizing the Design  
6 and Interpretation of Epidemiological Studies for  
7 Assessing Neurodevelopmental Effects of In Utero  
8 Chemical Exposure. A lot of the things covered in  
9 this publication are relevant today. Most important  
10 is things like design.

11           Then I have another paper that came out  
12 more recently in 2010, A Proposal to Facilitate  
13 Weight-of-Evidence Assessments. And as I said,  
14 there's always a funny acronym. And this one happens  
15 to have HONEES. But it was co-authored by people --  
16 again, from various walks of life, the government and  
17 academia. And huge input from people that work in  
18 psychology and psychiatry, particularly newer  
19 developmental psychology.

20           Then again, since chlorpyrifos is  
21 short-lived chemicals, a chemical with a short half-  
22 life, there is a paper on Biomonitoring and  
23 Environmental Epidemiology of Specifically Short-Lived  
24 Chemicals. Because they represent as sort of a set of

1 different issues. They present a set of different  
2 issues that may be different from same biomarkers of  
3 nutrition or biomarkers of persistent chemicals.

4 And finally, the most recent in this  
5 series of papers is, again, the name should look  
6 familiar. Those were on Improving Concordance  
7 Environmental Epidemiology.

8 Now again, at least three out of four  
9 papers that I showed you, or four out of five, were  
10 funded at least in part by industry. Some European,  
11 some U.S. And so you need to be aware of that.  
12 Having said that, I wasn't involved in the meetings  
13 and drafting of the documents. We worked by  
14 ourselves.

15 So let's go through some of those  
16 considerations, again, with those in mind. And again,  
17 I am just borrowing from the papers have been written  
18 in the last 10 years or so. Some of them may be  
19 relevant, some of them maybe not. But a lot of it is  
20 relevant.

21 So first, what would be the optimal  
22 design? I'm talking about a wish list. I'm not  
23 talking necessarily that each and every study has to  
24 be held with its feet to the fire to those standards.

1 Nevertheless, what we do have is prospective  
2 longitudinal studies that are desirable and the  
3 Columbia study is one such study.

4 What is important, though, if exposure  
5 is short-lived and may effect neurodevelopment, it is  
6 important to have repeated exposures. There may be a  
7 number of reasons why people would do that.  
8 Developmental testing and assessment may also be time  
9 dependent and therefore repeating the same test at  
10 different times is important.

11 Now again, the Columbia study, and it's  
12 strange, at least one of the tests was administered  
13 three times, which is an important thing to consider.  
14 But you know the other issue is confounders or  
15 covariates. I should be broader than that. Not just  
16 confounders, but other extraneous factors that may  
17 inform the result. Those can be confounders or it can  
18 be -- effect modifiers, thought it can be mediators.

19 So ideally, this is the kind of study  
20 that one would want to see when discussing today's  
21 issue. What we know about the Columbia study is that  
22 chlorpyrifos, and I think that's well established, was  
23 measured once. But neurodevelopmental outcomes, as I  
24 just mentioned, were assessed several times. And the

1 covariates, as far as I can tell, were measured only  
2 once. Some of them only needed to be measured once.  
3 There is no reason to measure, say, gestational age  
4 twice, because that's -- it's a one-time thing. But  
5 things like home environment, things like certain  
6 socioeconomic or behavioral characteristics may change  
7 over time. I'm talking about -- and optimal design.  
8 And again, I'm not insisting that each and every study  
9 has to be held to that level of standard. But  
10 nevertheless, this is something we need to strive for.

11           So here's a question: Is the design  
12 available that involves several measures of exposure,  
13 outcome and time-dependent covariates better suited  
14 for risk assessment in this case? The answer may be  
15 no, the answer may be yes. But I think you should ask  
16 that question yourself.

17           Next issue is measurement error. I  
18 hate to -- this horse has been dead for a while now,  
19 but I have to add a bit of clarification. So we do  
20 know that epidemiological studies are subject to  
21 error. Outcome and exposure and confounders are  
22 subject to error. It's just an observation with  
23 epidemiology. This is inevitable. This is -- we have  
24 to live with that.

1                   Now I can tell you that a cause of  
2 death is hugely misclassified. I can tell you that,  
3 even gender or sex is hugely misclassified with, let's  
4 say, in electronic medical records. We looked at  
5 these issues in a different study. It had nothing to  
6 do with this. In Kaiser Permanente they have  
7 wonderful data. And yet, males are sometimes  
8 misclassified as females, females as males.

9                   So now the issue of nondifferential  
10 misclassification and the direction of the bias. And  
11 I'm not going to say anything controversial.  
12 Misclassification, that is non-differential, is  
13 towards the null when both the outcome and the  
14 exposure are binary and that's a very important issue.  
15 It will always happen towards the null. In a two-by-  
16 two table where sensitivity of exposure  
17 misclassification and specificity of exposure  
18 misclassification is exactly the same in people with  
19 different levels of outcome and vice versa. When  
20 sensitivity of exposure misclassification and  
21 specificity of -- I'm sorry -- of outcome  
22 misclassification and specificity of outcome in this  
23 classification is exactly the same in people with  
24 different levels of exposure.



1                   Then, no argument, then the  
2                   misclassification will be towards null. Now -- but  
3                   that's not it. When you have -- where you beyond the  
4                   two-by-two table -- and we already discussed that  
5                   today that if you have several levels of exposure, all  
6                   bets are off -- but even in a two-by-two table, in  
7                   binary -- exposure binary outcome, if that error is  
8                   not independent of things they're going to control  
9                   for, in other words, other sources of error, or it's  
10                  not independent of, say, selection, inter-study loss  
11                  to follow up, all bets are off, again.

12                  Moreover, you may have purely non-  
13                  differential misclassification. With identical  
14                  sensitivities and specificities across groups. But if  
15                  it's a huge misclassification, the bias goes towards  
16                  the null, reaches the null, it goes to the other side.  
17                  It's called switchover bias. There's a paper by Chen  
18                  et al, 2013 that wrote about it. And I can refer to  
19                  the Wacholder in 1995 from NCI. We talk about it.

20                  So now we kind of have to consider that  
21                  all of those assumptions, which are theoretically  
22                  possible, need to be met in order for us to, with  
23                  confidence, say that we know which way the  
24                  misclassification is going to be.

1                   So I'm saying all that for a simple  
2                   reason. There is no substitute for good data. And  
3                   good data are hard to get. In observation with  
4                   epidemiology in particular, it's very, very hard to do  
5                   good epidemiological studies. And no study is -- ever  
6                   should be considered perfect. I'm not aware of any  
7                   single perfect epidemiology study.

8                   Now what about exposure assessment  
9                   issues? Again, going back to the little paper on  
10                  short-lived chemicals, that lines up a few things sort  
11                  of in the rows and then proposes -- and you don't have  
12                  to necessarily follow that proposal -- to  
13                  distinguished studies by level of evidence. Tier 1,  
14                  Tier 2, Tier 3.

15                  This is just a heat map and the colors  
16                  are not very good. But imagine, green is a Tier 1,  
17                  yellow is Tier 2 and then Tier 3 is orange or red or  
18                  something like that. It's a heat map, has nothing to  
19                  do with Columbia study. It's just a way of  
20                  invitation, if you will, to think about exposure  
21                  assessment through those terms.

22                  Again, I don't want to talk about it at  
23                  length. But let me just say that, for instance,  
24                  specificity in the Columbia study should be given the

1 green light, of course, because you're measuring  
2 chlorpyrifos. That is the exposure of interest. It's  
3 better than a biomarker that may be nonspecific and  
4 that's certainly a strength.

5 On the other hand, I should say that  
6 one needs to take a pause to think about biological  
7 relevance of one-time exposure, if we're talking about  
8 the endpoint that's measured years down the road. And  
9 also, one needs to take a pause when thinking about  
10 the variability and how people can move across, say  
11 quartiles or, let alone, binary categories.

12 We don't know much, or at least, we  
13 couldn't find -- our group could not find data on the  
14 variability of chlorpyrifos. We do have a little bit  
15 of data from -- on the variability of TCPy. These are  
16 pregnant women with the measures done at different  
17 trimesters -- first, second and third trimester. I  
18 don't think I need to comment on this figure. I think  
19 you can -- you see it for yourself.

20 Now if one were to dichotomize this  
21 exposure based on one or the other time point, chances  
22 are people will end up in a different category,  
23 depending on the time.

24 Neurobehavioral testing, that's in the

1 outcome ascertainment, is another huge issue. I'm  
2 referring you to the study by Youngstrom et al. I  
3 don't want to spend a lot of time on it. But think  
4 about how these studies are done. These -- a lot of  
5 those tests and I'm not an expert, though I started in  
6 clinical pediatrics and practices for about 20 years  
7 before moving on to academia. I know that a lot of  
8 those tests are very hard to administer in a  
9 reproducible manner, particularly if it's not in a  
10 clinical setting.

11 I would probably refer you to that  
12 paper and stop there, other than to say, again, pose a  
13 few questions. You know, does a single cord blood  
14 exposure estimate reflect exposure of interest, which  
15 is prenatal exposure in this case. What is the extent  
16 of error associated with administration and  
17 interpretation of neurodevelopmental tests? Now there  
18 can be no differential or it can be differential, but  
19 there's a lot of assumptions that we just discussed  
20 that need to be made before one makes assumptions --  
21 before my -- draws conclusions about the direction of  
22 association.

23 And then this internal issue. Newer  
24 development takes a long time. It starts during

1 gestation and continues in the early adulthood. To  
2 some extent it's almost unfair to think about the  
3 study as a study with an outcome. It's a process,  
4 really, that we're trying to capture and understand.  
5 And for that reason, I want you to think, what -- you  
6 know, visualize the timeline of those changes that  
7 maybe started before the data even became available,  
8 probably going to continue after the data are  
9 available. And then, put on a time clock. Imagine a  
10 time clock that exposure assessment and you know,  
11 think about it.

12 Analysis considerations -- and we're  
13 going back to the Amler et al. paper 2006. I don't  
14 want to spend a lot of time. Just two things, one is,  
15 again, I would like to commend the Columbia study  
16 investigators for collecting data on the outcome  
17 several times and doing repeated measures analysis.  
18 It's very important, because we're talking about a  
19 process, rather than a distinct outcome. And they've  
20 done that. They've done that, although I must say  
21 that a lot of discussion revolved around this  
22 difference at 36 months of age.

23 It is important, though, to look at the  
24 trajectories, which they've done as well. And what

1 they've done in analysis of repeated measures, well,  
2 the conclusion was that on the left, the two curves  
3 are, more or less, the same shape. They did it using  
4 mixed linear models. On the right, the two curves are  
5 different. But there are all kinds of additional  
6 questions that can be asked with repeated measures.

7 For example, how much daylight is there  
8 across the three-year period -- or two-year period, in  
9 this case -- between the two curves? We see the  
10 daylight in the 36 months. That's, for sure,  
11 undeniable and it's supported by statistical tests.

12 But what if we looked at those two  
13 curves at a continuum and looked how much difference  
14 is there between them? Maybe the answer will be  
15 different or it could be the same? Hard to say. But  
16 this will be -- I certainly would want to know.

17 Then the business of covariates. It  
18 really gets difficult because there's so many things  
19 that people put on their wish list as favorite  
20 covariates. And it's absolutely right that not all  
21 covariates are confounders. It's absolutely right  
22 that not all -- that the confounders should not be  
23 controlled for in the analysis.

24 But I mean, for instance, let's just

1 take gestational age. I think there was a discussion  
2 about it earlier. So one view is that the gestational  
3 age is a mediator. In other words, chlorpyrifos  
4 exposure causes and one industry employed causal  
5 reasoning here because if we're going to make  
6 decisions, we have to assume causation.

7 Exposure causes change in gestational  
8 age. And gestational age, in turn, causes change in  
9 neurodevelopment. And that's mediation, that's a  
10 classic. Arrows goes from A to B to C. In that case,  
11 perhaps, different types of analysis are needed. You  
12 should not control. You should do a different kind of  
13 analysis, structured regional modelings mediation  
14 analysis, to look at the direct and indirect effects,  
15 perhaps.

16 But then the other argument can be made  
17 just as well that gestational age is a marker of  
18 something that's going on in these women's lives that  
19 happens to be a behavioral factor, perhaps, a cohort  
20 effect.

21 The unmeasured thing that affects  
22 gestational age which, in turn, affects the outcome.  
23 And then that upstream variable affect is --  
24 influences chlorpyrifos exposure. Then if you control

1 for gestational age you, in fact, are controlling  
2 indirectly for that unknown confounder. It's a  
3 theoretical argument. I'm not even going to tell you  
4 there's one thing, it's right to do this way versus  
5 that way. I've been around long enough to know that  
6 these -- a lot of it's a matter of judgment.

7 But nevertheless, you know, these are  
8 the types of things we struggle with every day, doing  
9 observational studies. And I think I'm inviting you  
10 to struggle with me on this, as well.

11 So if we did longitudinal analysis with  
12 repeated endpoint measures across the study period and  
13 if we somehow found a way to examine time-dependent  
14 confounding, how would that affect our conclusion?  
15 May have not. But I'd bet that results may change.  
16 Maybe in a different direction. They may -- become  
17 stronger. But at the end of the day we're trying to  
18 get to the association which reflects what's  
19 happening.

20 And finally, external consistency  
21 considerations. In observation of epidemiology and I  
22 don't think I'm being controversial in any way.  
23 Evidence, as I mentioned earlier, is built  
24 repetitively. There is a cumulative effect of



1 building evidence. And yes, we grapple with the  
2 inconsistent data. But when the signal is real, the  
3 signal eventually gets picked up and is not drowned in  
4 the noise.

5 There are various ways, very ingenious  
6 ways, to do it. But at the end of the day, in order  
7 to judge or draw conclusions about presence or absence  
8 of associations, causal associations, one is to  
9 compare apples to apples.

10 Studies that examine exposure in the  
11 same or similar way, examine the outcome in the same  
12 or similar way and did analysis in the same or very  
13 similar way. We don't have -- unfortunately, we don't  
14 have the luxury of having that body of literature,  
15 when we discussed this particular set of issues with  
16 chlorpyrifos.

17 I must say that we were very pleased to  
18 see a very recent paper. It may have come out a  
19 couple weeks ago, by Engel et al. 2016, that tried to  
20 do just that. They took four different studies,  
21 examine the associations for the same relevant  
22 biomarker. And I know it's DEP, it's not  
23 chlorpyrifos. So it will be much stronger if the  
24 biomarker of interest was chlorpyrifos and use the

1 same tests BSID at the same age, 24 months of age, and  
2 use the same ways of controlling for covariate. I  
3 must confess, I don't quite understand some of the  
4 finer point of the analysis. But that's not the  
5 issue. I think -- I mean, one option is since I don't  
6 quite understand, because how the interaction  
7 variables were handled. I just did the random effects  
8 analyses and which happen to be very similar to what  
9 Engel et al. reported.

10 This is two months. This is DEP. This  
11 is not chlorpyrifos, but it's the same task at the  
12 same age. Now you already know that Columbia study  
13 did not find anything at two months -- two years of  
14 age. I said, two months; I meant 24 months, at two  
15 years of age.

16 They did find daylight between the  
17 exposed and non-exposed at three years of age who  
18 would love to see data, similar data, at three years  
19 of age. I just -- I'm not aware if these -- such data  
20 are available. But it is in the spirit of comparing  
21 apples to apples, that I'm having this discussion.

22 Now what about the seven-year-old  
23 assessment with -- using WISC? You know, we've  
24 established that, that there was an association with

1 Working Memory in the Columbia study, using  
2 chlorpyrifos as exposure of interest.

3 We also know that Working Memory  
4 happens to be the only of the scales that showed  
5 virtually no association in the CHAMACOS study with  
6 DEP. On the other hand, CHAMACOS found an association  
7 with processing speed that was significant and then  
8 you hypothesize direction. In other words, it was an  
9 inverse association. But look at the processing speed  
10 in the Columbia study. It's null. If anything, it's  
11 opposite of hypothesized direction. But of course,  
12 one wouldn't conclude anything, based on a confidence  
13 interval like that. So it gives me pause. Again, the  
14 important issue, these are not apples and apples.  
15 This is DEP versus chlorpyrifos and the chlorpyrifos  
16 is a preferable measure.

17 Now as far as I can tell, in the paper  
18 by Huen et al, chlorpyrifos levels were measured in  
19 the cord blood. From memory, I think it's Table 3.  
20 And those levels actually turned out to be quite a bit  
21 higher than those in Columbia. Wouldn't it be nice to  
22 see the results for chlorpyrifos exposure in relation  
23 to neurodevelopment at seven years or six years of age  
24 in that other study? At least, then we would have a

1 luxury of comparing a few things, side by side. And  
2 maybe these data are available. I couldn't find them.

3 And finally, this is very recent, just  
4 came out and people already discussed that. That's an  
5 association between DEP again an imperfect biomarker  
6 with WISC at six years of age in the French study by  
7 Cartier et al. If anything, the association is in  
8 the opposite direction. It's not inversed. It's  
9 positive. It's not significant. I would not call  
10 this anything but null. But it is what it is. The  
11 numbers are simply a screenshot from their PDF.

12 So going back to consistency. Are the  
13 results of one study -- in this case, CCEH, nothing  
14 wrong with that study, it's a good study -- but it's  
15 one study. It's one cohort. There are multiple  
16 publications, of course, we know that. But  
17 nevertheless, a cohort is -- it's a single cohort --  
18 sufficient for a formal risk assessment in regular  
19 decision-making? If you tell yourselves, well, that's  
20 enough and we can move on, more power to you. But at  
21 least think about it.

22 And I'm going back to the questions  
23 that I started with. Again, I'm not declaring and I'm  
24 not telling you what to do. But think of quality and

1 the quantity of the evidence. Think of hazard and  
2 then of those response and then think how that would  
3 lead you to PoD. Thank you very much. I'm ready to  
4 take questions.

5 **DR. JAMES MCMANAMAN:** Thank you.

6 Questions for Dr. Goodman? Dr. Rohlman.

7 **DR. DIANE ROHLMAN:** Diane Rohlman.

8 Just something to clarify is that one of the points  
9 you made was that the same test should be administered  
10 at each time. And that's an important component of  
11 replication and reproducibility. But I think we need  
12 to acknowledge that we're looking at changes across a  
13 lifespan of the child and therefore those tests are  
14 not appropriate to be administered, you know, at  
15 different ages, as well.

16 Also, a second point here is that, you  
17 know, the epidemiological studies you keep saying that  
18 they are subject to uncertainty. And I certainly  
19 agree with that statement, but I'd like to recognize  
20 that all studies have some level of uncertainty and  
21 that there are many factors that we can't control.

22 You know, and it is difficult to  
23 compare these epidemiological studies. But it's --  
24 also and I believe this was a review by the EPA that

1 looked at the animal study and also came to the same  
2 conclusion that because of the different methods that  
3 were used, is also difficult to compare studies across  
4 those as well.

5 **DR. MICHAEL GOODMAN:** No disagreement  
6 there, other than to say that there is sort of a  
7 hierarchy of evidence quality. You know, I teach my  
8 students, I show them a totem pole. There is a  
9 clinical trial on top, followed by a prospective  
10 cohort study, perhaps, a nested-case control study,  
11 and then other studies go down. Even within those  
12 categories, there's a hierarchy. There are some  
13 studies that are better, some studies that are not as  
14 good.

15 So one thing I -- again, when I teach  
16 my students, I tell them this is one time when you  
17 don't collect votes from studies. You look carefully  
18 and take a hard look at them and see whether or not  
19 they contribute the same or different level of  
20 evidence, but no disagreement. I think we are on the  
21 same page here.

22 **DR. JAMES MCMANAMAN:** Dr. Sagiv.

23 **DR. SHARON SAGIV:** Sharon Sagiv from  
24 U.C. Berkeley. So thank you. That was an extremely

1 balanced and well-presented perspective. I really  
2 appreciated it and I appreciate the points you made.

3 I have a question just about your --  
4 you know, what your feeling on this is. So we're in  
5 sort of a conundrum where we have one study. And I  
6 agree with you. That's a big conundrum, because it's  
7 only one study. And I agree with you that weight of  
8 evidence is really important here. And it would be  
9 great to have more studies of chlorpyrifos, but we  
10 don't.

11 What, in your opinion, you know, what  
12 do you think we should be doing here, I mean, in terms  
13 of the weight of evidence? How do we do it? Do we go  
14 to the studies of organophosphates? Just from your  
15 epidemiologic perspective, how do we mitigate that  
16 problem?

17 **DR. MICHAEL GOODMAN:** Can I be blunt?  
18 Don't do it. I don't think you can do it.

19 **DR. SHARON SAGIV:** Don't do what?  
20 Don't use the evidence from that study?

21 **DR. MICHAEL GOODMAN:** Don't -- well, I  
22 mean, wait. Get more evidence, get more -- try to get  
23 a little bit more certainty in your life, so then you  
24 can move on. This is a tough one. I sympathize.

1                   **DR. JAMES MCMANAMAN:** Thank you. Any  
2 other questions? Thank you Dr. Goodman. So our next  
3 speaker is Virginia Ruiz from Farmworker Justice.

4                   **MS. VIRGINIA RUIZ:** Good afternoon and  
5 thank you for the opportunity to address this advisory  
6 panel. My name is Virginia Ruiz and I am the director  
7 of Occupational and Environmental Health at Farmworker  
8 Justice. Farmworker Justice is a national nonprofit  
9 whose mission is to improve the living and working  
10 conditions of farmworkers in the United States.

11                   Farmworker Justice joined other  
12 environmental and farmworker advocacy organizations in  
13 submitting written comments to the advisory panel,  
14 which Dr. Sass referenced in her remarks earlier  
15 today.

16                   We support EPA's decision to develop an  
17 exposure limit for chlorpyrifos based on the  
18 neurodevelopmental harms to children. And we're  
19 especially concerned about the risks posed to  
20 farmworkers and their children from occupational  
21 exposures to chlorpyrifos. My remarks will address  
22 these occupational exposures.

23                   EPA used the PBPK model to estimate the  
24 internal chlorpyrifos levels that would result from



1 low-end exposure scenarios for pesticide handlers who  
2 are women of childbearing age. EPA used its standard  
3 methodology to calculate handler exposures. And the  
4 agency's analysis resulted in margins of exposure of  
5 less than 100. In fact, none of the MOE's from this  
6 analysis comes close to 100. And many revealed risks  
7 that are well above acceptable risk levels. All the  
8 scenarios and time periods evaluated resulted in MOEs  
9 of concern.

10 And even though EPA used handler  
11 scenarios that have low occupational exposure  
12 potential, it's still found that these unacceptably --  
13 it still found these unacceptably high risks to  
14 workers. Worker scenarios with higher exposures would  
15 subject workers to even greater risks, resulting in  
16 even lower MOEs.

17 In addition, EPA found these high risks  
18 despite a lot of assumptions about worker exposure  
19 period. In its occupational risk assessment, EPA  
20 makes assumptions that don't reflect real-world  
21 exposures for workers.

22 In the real world, label directions are  
23 not always followed, re-entry intervals are not always  
24 observed. Applicators don't have functioning personal

1 protective equipment and off-target drift happens.

2 In its risk assessment, EPA assumed an  
3 eight-hour workday and the availability of adequate  
4 cleaning, laundry and changing facilities for workers.  
5 Farmworkers frequently work more than eight hours a  
6 day, especially during peak harvest periods.

7 According to a USDA report, 68 to 81 percent of hired  
8 farmworkers worked more than 40 hours per week.

9 Pesticide exposure continues as long as  
10 workers cannot change out of contaminated clothing and  
11 into clean clothing. It's unrealistic to assume daily  
12 showers immediately following exposure. Most workers  
13 don't have changing facilities at work and travel home  
14 in contaminated clothing. Most farmworkers earn wages  
15 that are well below the federal poverty level, live in  
16 overcrowded housing, which impedes their ability to  
17 bathe within a half an hour or an hour of returning  
18 home.

19 And although the EPA recommends in the  
20 Worker Protection Standard and the Pesticide Safety  
21 Training, that workers wash contaminated clothing in a  
22 machine separate from non-work clothing, many workers  
23 don't even own washing machines.

24 OSHA standards for farmworker housing

1 require only one laundry tray or tub for every 30  
2 occupants. And some workers lack shower and laundry  
3 facilities to ensure that exposures, in fact, stop  
4 after the workday ends.

5           Despite these shortcomings, EPA's  
6 analysis confirms that for pregnant workers, current  
7 levels of exposure to chlorpyrifos are extremely  
8 unsafe. The risks of concern extend beyond food uses  
9 of chlorpyrifos to occupational exposures resulting  
10 from use on nursery plants, turf grass, Christmas  
11 trees and other non-food uses.

12           To protect workers and children all  
13 chlorpyrifos tolerances must be revoked and  
14 registrations canceled as soon as possible. Thank  
15 you.

16           **MR. JAMES MCMANAMAN:** Thank you. Any  
17 questions for this presenter? Okay. Thank you very  
18 much. The next presenter is Dr. Kunickis. Sorry if I  
19 mispronounced that -- I've been doing a lot of that  
20 lately -- from the U.S. Department of Agriculture,  
21 Office of Pest Management Policy.

22           **DR. SHERYL KUNICKIS:** Thank you very  
23 much. Good afternoon, Mr. Chairman, members of the  
24 Science Advisory Panel, Mr. Housenger, and my EPA

1 colleagues. Thank you for the opportunity to provide  
2 comments. My name is Sheryl Kunickis and I'm the  
3 director at the U.S. Department of Agriculture's  
4 Office of Pest Management Policy.

5 Ensuring that all parts of U.S.  
6 agriculture have the crop protection tools necessary  
7 to produce a robust food supply is part of our mission  
8 at USDA. The recommendations you will make as part of  
9 this scientific advisory panel will have enormous  
10 impact on the world's food supply.

11 The shift EPA is suggesting from an  
12 established point of departure based on  
13 acetylcholinesterase inhibition to a new point of  
14 departure, based on the Columbia University  
15 epidemiological study, is momentous and cannot be  
16 understated.

17 We at USDA feel very strongly that this  
18 type of major change should only be made if the level  
19 of confidence in both the results of the Columbia  
20 study and EPA's approach for using these results is  
21 very high indeed.

22 Your recommendation for how EPA  
23 regulates chlorpyrifos will reach far beyond this one  
24 active ingredient and will affect not only how other

1 organophosphates are regulated, but many other broad  
2 classes of pesticides as well.

3 This is a major shift in pesticide  
4 regulation and there are major potential impacts. The  
5 cost to our food supply, to our economy, to taxpayers  
6 and to low-income Americans.

7 We at USDA stand ready to have further  
8 dialog and assist in the technical details of this  
9 issue. In particular, we believe further interagency  
10 discussions regarding the capabilities and limitations  
11 that the Columbia study -- of the epi study and of epi  
12 studies, in general, would be a useful dialog.

13 In addition, we believe a discussion is  
14 warranted regarding the limitations of assessing a  
15 single chemical in light of exposure to many different  
16 chemicals over a developmentally crucial multiyear  
17 period.

18 For over 40 years, the EAP, Office of  
19 Pesticide Programs, has been the gold standard across  
20 the world for entities that register and have  
21 oversight of pesticides. Because of EPA's,  
22 scientifically based, well-vetted and transparent  
23 approach, the agricultural community has had the  
24 confidence to use pesticides as part of the world-

1 class agricultural production system. We need that to  
2 continue.

3 Chlorpyrifos essentially is up first  
4 and is the subject of this meeting. So let me share  
5 the following, noting that it is but an example of the  
6 value of pesticides in general. Chlorpyrifos is a  
7 tool for farmers in managing a wide array of pest  
8 insects and is a critical part of integrated pest  
9 management, IPM programs, in well over 50 crops grown  
10 across the United States. This is due to its  
11 efficacy, broad spectrum activity against multiple  
12 pests and it fits with conservation biological control  
13 on crops such as citrus, tree fruit and cotton.

14 Changes to the process that result in  
15 losses of important crop protectants will likely have  
16 a significant negative impact on the production  
17 capabilities and economic stability of producers of  
18 many human and animal food crops.

19 This is true, particularly, where few  
20 or no efficacious insecticide alternatives are  
21 available. Where resistance management with limited  
22 alternatives is a concern, where Maximum Residue  
23 Limits or MRLs, for effective insecticide alternatives  
24 are not established for export markets and where crops

1 experience invasive and/or endemic pest outbreaks.

2 As I stated in the beginning, the  
3 implications for the outcome of these questions you're  
4 answering are profound with potential costs to our  
5 food supply, to our economy, to taxpayers, to low-  
6 income Americans. We'd like to work with you to  
7 further ensure that the very best science-based policy  
8 is the outcome. Thank you.

9 **DR. JAMES MCMANAMAN:** Thank you. Any  
10 questions for this presenter? Dr. Jett.

11 **DR. DAVID JETT:** Thank you for that.  
12 This is Dave Jett, NIH. I was just wondering; you  
13 were talking about potential alternatives --

14 **DR. SHERYL KUNICKIS:** Yes, sir.

15 **DR. DAVID JETT:** -- to chlorpyrifos.  
16 And you mentioned that there's maybe no OPs that have  
17 the broad spectrum and efficacy as chlorpyrifos. Are  
18 there -- have you thought about different mixtures  
19 that could potentially be used to try to, you know,  
20 have a broader coverage?

21 **DR. SHERYL KUNICKIS:** Mixtures is a  
22 whole other set of a concern. There's a handful of  
23 pesticides, depending on the crop that's used and the  
24 pests that's being treated and the location of where

1 it's being treated. It will be dependent on what  
2 pesticide can be used.

3 There's some things that can be mixed.  
4 You want to mix different modes of action. It just  
5 depends what you're trying to accomplish. But in some  
6 crops there are no alternatives. Some crops there are  
7 other alternatives or they have more alternatives.  
8 But there are some that do not have alternatives to  
9 chlorpyrifos.

10 **DR. JAMES MCMANAMAN:** Yes, Dr. Pessah.

11 **DR. ISAAC PESSAH:** Isaac Pessah. I was  
12 just wondering, you had mentioned several detrimental  
13 outcomes from, I assume it's a ban of chlorpyrifos.  
14 Have you put numbers to the issues you've raised and  
15 you mentioned that it was profound and dramatic but --

16 **DR. SHERYL KUNICKIS:** It is.

17 **DR. ISAAC PESSAH:** -- are there numbers  
18 associated with, let's say, end-product costs?

19 **DR. SHERYL KUNICKIS:** Well, I can tell  
20 you this. If you look in the docket, when there was a  
21 proposal to revoke tolerances for chlorpyrifos,  
22 there's a number of statements from different  
23 countries. And I will point out to you that Canada  
24 responded and they're very concerned about this. They



1 say, for them, the issue will be -- the impact will be  
2 \$50 billion in trade. That's with Canada alone.

3 I've seen a chart and I wish I could  
4 remember where it was from, but it listed out all the  
5 different countries that have commented on the impacts  
6 that it would have. Our Office of the Chief Economist  
7 has been looking into it. We're not there yet, but we  
8 do recognize that this is going to have tremendous  
9 impacts to U.S. agriculture if this does, indeed,  
10 occur. And that's why we're very interested in making  
11 sure that the process is at the gold standard that we  
12 know EPA to have.

13 **DR. JAMES MCMANAMAN:** Dr. Rohlman.

14 **DR. DIANE ROHLMAN:** Diane Rohlman.

15 Just to clarify that the concern is the export of  
16 products from the U.S. and the tolerance levels. If  
17 you could clarify that or?

18 **DR. SHERYL KUNICKIS:** So when we trade  
19 between countries, we have established MRLs. And some  
20 countries -- or if we don't, some countries don't have  
21 them, so we have an issue with the import and export,  
22 if one country has them and another country doesn't.

23 So if we have to go to alternatives, we  
24 may not have alternatives that have already

1 established MRLs for being able to have that for  
2 trade. So the chlorpyrifos is an older chemical and  
3 those are well-established MRLs. So that would be  
4 part of the issue.

5 **DR. ISAAC PESSAH:** Sort of as a follow-  
6 on, are there other countries that have lower MRLs  
7 than we do?

8 **DR. SHERYL KUNICKIS:** Oh, gosh, that's  
9 -- I would defer to -- that's -- I don't know.  
10 There's so many countries I would assume that there  
11 are other countries.

12 **DR. ISAAC PESSAH:** Don't concern  
13 yourself, because it's not a scientific question.

14 **DR. SHERYL KUNICKIS:** Right. Okay. So  
15 but I will say that there are countries that probably  
16 do and there's countries that have no -- they don't  
17 use chlorpyrifos. It's probably a range.

18 **DR. JAMES MCMANAMAN:** Yes, Dr. Rohlman.

19 **DR. DIANE ROHLMAN:** So Diane Rohlman.  
20 I'm not sure if this is a scientific question or not,  
21 but we won't know until I ask it. So you currently --

22 **DR. JAMES MCMANAMAN:** It has to be  
23 repeated, of course.

24 **DR. DIANE ROHLMAN:** So in your

1 statement, you said that there are 50 crops currently  
2 where chlorpyrifos is registered for use. Could you  
3 go back in time and how many were registered, say, in  
4 2000?

5 **DR. SHERYL KUNICKIS:** We could. Yes,  
6 ma'am.

7 **DR. DIANE ROHLMAN:** Okay. You don't  
8 know that --

9 **DR. SHERYL KUNICKIS:** Offhand, in 2000?  
10 I couldn't tell you that offhand. But it's used  
11 nationwide on, like I said, 50 crops, but it's used  
12 all across the U.S.

13 **DR. DIANE ROHLMAN:** Has the number of  
14 crops that's registered increased or decreased since  
15 2000?

16 **DR. SHERYL KUNICKIS:** Since 2000, the  
17 number of crops, I couldn't speak to how many crops.  
18 But the fact that the residential uses were removed, I  
19 would -- that's not a crop. But the use pattern has  
20 probably decreased. But chlorpyrifos, is a powerful -  
21 - one of the powerhouse insecticides used nationwide  
22 because it is so effective.

23 **DR. JAMES MCMANAMAN:** Other questions?  
24 Okay. Thank you very much.

1 DR. SHERYL KUNICKIS: Thank you.

2 DR. JAMES MCMANAMAN: So at this point,  
3 I think before Syngenta presents, we'll take a 15-  
4 minute break and come back.

5 (Brief recess.)

6 DR. JAMES MCMANAMAN: Get started  
7 again. So what we're going to do -- this is a co-  
8 presentation by Syngenta and Exponent and we're going  
9 to reorganize the presentations just a little bit to  
10 accommodate somebody's travel needs. So the next  
11 presenter will be Ellen Chang, Dr. Ellen Chang from  
12 Exponent, Incorporated.

13 DR. ELLEN CHANG: Thanks very much. So  
14 my name is Ellen Chang. I'm an epidemiologist. I am  
15 employed at Exponent and I'm here talking on behalf of  
16 Syngenta. I also have a faculty appointment at the  
17 Stanford University School of Medicine where I do  
18 academic epidemiologic research.

19 So I can just tell you a little bit  
20 about what I'll be discussing. I'll be here talking  
21 consistent with EPA's and other groups' efforts to  
22 integrate epidemiological and toxicological data to  
23 reach science-based conclusions about causation. I'll  
24 be discussing that in line with the Bradford Hill

1 guidelines which are often used in epidemiology.

2 They've been used for several decades.

3           So most of you probably are familiar  
4 with the Bradford Hill guidelines, the nine guidelines  
5 that are listed here. I'm going to apologize in  
6 advance. I'm going to be going fairly quickly through  
7 the nine guidelines. And I don't need to use them at  
8 all in a sort of checklist fashion. It's not like a  
9 yes, no, it meets these criteria or not.

10           But due to the time limitations of this  
11 presentation, I'll be going through them fairly  
12 quickly. I would love to have a longer discussion.  
13 And indeed, we have, Rick Reiss and I and some others  
14 have a paper that's mentioned up there that's more  
15 than 100 pages long where we discuss the balance of  
16 epidemiologic evidence with respect to organophosphate  
17 insecticides and these outcomes. But here I'll be  
18 flying through a little bit quickly.

19           So the first guideline when considering  
20 the balance of epidemiologic evidence is the strength  
21 of the association. And so I will be basing my  
22 evaluation of the overall epidemiologic evidence for  
23 chlorpyrifos with respect to neurodevelopment on 11  
24 total epidemiologic studies that are relevant.

1                   So as we've discussed before, only the  
2 Columbia cohort study measured chlorpyrifos directly.  
3 But the other ones also do provide information on this  
4 causal question, including seven prospective birth  
5 cohorts and four cross-sectional studies that measured  
6 TCPy which a nonspecific measure of chlorpyrifos  
7 exposure or the diethyl phosphates which are an even  
8 less specific measure of the exposure.

9                   So overall, the strength of the  
10 association in general has not been more -- it's not  
11 been stronger than the strength of associations, for  
12 example, with certain established confounders or  
13 potential -- a measure for confounders or with the  
14 strength of potential bias.

15                   So in general, the strength of the  
16 observed associations with IQ, for instance, has not  
17 been sufficient to exclude confounding or bias as a  
18 potential explanation for the associations observed.

19                   The next guideline suggested by  
20 Bradford Hill is consistency. So we've discussed this  
21 a little bit earlier today. These charts here show  
22 results from prospective cohort studies that measured  
23 the same outcomes. So those are the same across these  
24 studies.

1                   But the exposures are different in the  
2 studies that are shown here. So this is a little bit  
3 of an apples-to-oranges comparison, although you would  
4 expect if there's a strong toxic effect of  
5 chlorpyrifos, at least you'll see the same direction  
6 of association across different birth cohort studies  
7 and you can see that there's quite a scatter of  
8 results for the Bayley infant scales at 12 months and  
9 24 months across these three cohorts, the Columbia  
10 study, the Mt. Sinai and the CHAMACOS cohort study.

11                   And likewise, with respect to  
12 intelligence measures in children, you can see that  
13 the results are quite heterogeneous as are the methods  
14 again. And so this is a limitation of the body of  
15 evidence in terms of the fact that different exposures  
16 are used and then the results themselves are not  
17 entirely consistent.

18                   The next Bradford Hill guideline is  
19 specificity which is not a very strong requirement, I  
20 would say, when it comes to chronic diseases. But  
21 here we have several studies that have measured  
22 nonspecific metabolites with respect to chlorpyrifos  
23 exposure and there are numerous other risk factors for  
24 neurodevelopmental outcomes that are potential

1 confounders. So the observations of associations are  
2 not specific to either the exposure or the outcome as  
3 issue here.

4 Next issue is temporality which is in  
5 the perspective birth cohort studies, one reason why  
6 they're stronger than other epidemiologic studies is  
7 that the exposures are measured in advance of the  
8 outcomes.

9 However, in these studies we do have  
10 persisting concerns about whether the single exposure  
11 measure is sufficient to capture long-term exposure to  
12 chlorpyrifos. Also, it's unclear whether it is  
13 measured at the appropriate time to capture the window  
14 of susceptibility to these exposures.

15 The next guideline is biological  
16 gradient, that is whether there is an exposure  
17 response trend established. So across the 11  
18 epidemiologic studies that I mentioned, the majority  
19 of them actually did not do formal tests for trends.  
20 In general, they often assumed that there's linear or  
21 log linear relationship between the exposure and the  
22 outcome.

23 In the Columbia study, there were  
24 statistically significant linear trends detected for



1 some outcomes. But then in other studies that did  
2 test the trends, there was not a consistent monotonic  
3 trend observed. So there's no biological gradient  
4 that's clearly established across these epidemiologic  
5 studies.

6 In terms of whether it's biologically  
7 plausible for chlorpyrifos to have neurodevelopmental  
8 adverse effects at these levels, it's unclear.  
9 There's no established plausible biological mechanism  
10 in the absence of acetylcholinesterase inhibition. So  
11 it's not that it's implausible, it's that there's no  
12 established mechanism as of yet.

13 In terms of whether the human  
14 epidemiologic studies are coherent with the animal  
15 toxicological studies, here again, there's a  
16 disconnect in terms of the fact that no animal  
17 neurotoxicity has been observed at exposure levels  
18 below, which there's no inhibition of  
19 acetylcholinesterase in blood and RBC.

20 In terms of experimental evidence in  
21 humans, there's no true experimental data to evaluate  
22 the neurodevelopmental effects of chlorpyrifos.  
23 There's some indirect evidence, for example, from the  
24 Columbia study that perhaps there are these attenuated

1 associations after the residential phase-out of  
2 chlorpyrifos use, so that could be considered sort of  
3 quasi-experimental evidence.

4 But I think, as discussed earlier,  
5 there are other factors that have changed over time,  
6 as well as chlorpyrifos exposure. So this is pretty  
7 weak evidence. I wouldn't call it strictly  
8 experimental, but I won't cross it out up there.

9 And then analogy is the final Bradford  
10 Hill guideline. And there are analogies that supports  
11 a causal conclusion as well as analogies against a  
12 causal conclusion.

13 So overall, you can see that if you  
14 take standard guidelines for interpreting the overall  
15 balance of the epidemiologic evidence, I think there  
16 are a lot of remaining questions that need to be  
17 answered.

18 I wouldn't say that we can absolutely  
19 reject a causal conclusion, but that the persisting  
20 questions give us insufficient evidence to establish a  
21 causal relationship between chlorpyrifos and these  
22 neurodevelopmental outcomes and that's an important  
23 consideration to bear in mind, in terms of whether  
24 it's the appropriate time to be setting a point of

1 departure. And I guess we could pause now for  
2 questions, if anyone has them.

3 **DR. JAMES MCMANAMAN:** Do we have  
4 questions for this presenter? Yes, Marion.

5 **DR. MARION EHRLICH:** Okay. Marion  
6 Ehrlich, Virginia Tech. You say the evidence is  
7 insufficient for causation. Did you analyze it for --  
8 you think there's an association or did you just cut  
9 it off at causation? What were you looking for?  
10 Because there's ways of using epidemiological evidence  
11 that are not necessarily causation.

12 **DR. ELLEN CHANG:** Sure. So here I'm  
13 talking about causation. Clearly, there have been  
14 some associations detected in the literature.  
15 Although most epidemiologists, I think, in an academic  
16 setting that I would talk to would want to see  
17 replication of associations, even to say that there's  
18 an association established.

19 And I would say that, on the basis of a  
20 single study that looked specifically at chlorpyrifos  
21 that an association, even in this case, is not well-  
22 established.

23 **DR. JAMES MCMANAMAN:** Yes. Dr. Sagiv?

24 **DR. SHARON SAGIV:** I'm Sharon Sagiv

1 from U.C. Berkeley. I guess on that list there are  
2 few I'd push back on. I think the temporality thing  
3 that you crossed out, I think I agree with you that  
4 one measure may not represent full pregnancy, I mean,  
5 certainly not postnatal exposure. But I think the  
6 temporality assumption is that exposure comes before  
7 the outcome. And I think technically that's met -- I  
8 mean, I don't know.

9 It's -- I'm not such a big fan of these  
10 Bradford Hill criteria, to be honest. But if we're  
11 going to go through them one by one, I would push back  
12 on that one a little bit. The other one is on slide -  
13 - can I refer you back to a slide?

14 **DR. SHARON SAGIV:** Sure.

15 **DR. ELLEN CHANG:** On consistency. I  
16 think it's before this. We can go back to Slide  
17 number 8. I think that's it. The one with the -- no,  
18 the one after that.

19 **DR. SHARON SAGIV:** Yes.

20 **DR. ELLEN CHANG:** Sorry. Following  
21 that. I mean, they're both are kind of going down. I  
22 don't know. I would push back on that one, too, and  
23 that's a judgment call. If you are a significant -- a  
24 statistical significance person who only looks at

1 whether or not the null is within the confidence  
2 interval, then maybe you have a leg to stand on there,  
3 but I don't subscribe to that either. And I think  
4 that, especially when you're looking across a lot of  
5 different studies, if you're seeing most of the dots,  
6 to the left of the null, I don't know, I would push  
7 back on that one, too.

8 **DR. ELLEN CHANG:** So I would say, with  
9 respect to temporality, I agree that in perspective  
10 birth cohort studies, there's no question that the  
11 exposure is measured for the outcome. And so, in  
12 those cases, the direction of temporality is clear.  
13 But I think that they're -- temporality is not such a  
14 simple question.

15 **DR. SHARON SAGIV:** Right.

16 **DR. ELLEN CHANG:** And so, as you  
17 mentioned, there are other concerns that need to be  
18 taken into account when you're evaluating temporality.  
19 But -- and again, I don't like using these as a  
20 checkbox sort of criterion list.

21 With respect to consistency, I think it  
22 is important to take the confidence interval into  
23 account. Again, I'm not going to say statistically  
24 significant or not statistically insignificant is how

1 I decide if something is consistent.

2 DR. SHARON SAGIV: So the weights of  
3 the confidence intervals you're referring to?

4 DR. ELLEN CHANG: Yeah. I think that  
5 in these cases, we can't assume that if the studies  
6 were larger that the point estimates would be the  
7 same. That assumes the absence of bias in these  
8 studies which we can't assume. And so we don't know  
9 exactly what would happen if we tightened up those  
10 confidence intervals.

11 And then for a couple of the outcomes,  
12 the French study, which did not evaluate all of the  
13 outcomes here, found pretty different results. And if  
14 they -- they did not report the results for processing  
15 speed for perceptual reasoning, I believe, and then  
16 for Full-Scale IQ. We don't know what those would  
17 have shown.

18 I think in the charts for which you see  
19 the verbal score, which is the middle one and the  
20 memory, the Working Memory, the two where the French  
21 study actually contributes, then you see less  
22 consistency. And so if there's one study that can  
23 sort of change how you view the overall consistency of  
24 the evidence, then I feel that it's not very strong.

1 DR. SHARON SAGIV: Well, I mean, I  
2 think it's not very strong because you're looking at  
3 different exposures here.

4 DR. ELLEN CHANG: There's that, too.

5 DR. SHARON SAGIV: So that alone. But  
6 I would say, you know, I haven't seen a lot of groups  
7 of studies that have shown this much consistency.  
8 This looks pretty consistent, to me. But that's just  
9 me.

10 And then the -- one other thing I would  
11 point to is the dose-response.

12 DR. ELLEN CHANG: Mm-hm.

13 DR. SHARON SAGIV: And p for trends are  
14 important for looking at linear dose-response, but  
15 they assume that there's a linear dose-response. And  
16 if you don't have a linear dose-response, the p for  
17 trend may show non-statistical significance when there  
18 may be a threshold or there may be a slight curve or  
19 linear association. So I would note that I think that  
20 looking at the splines which is what the Columbia  
21 group did, is very appropriate, rather than just  
22 looking at a p for trend, which basically boils it  
23 down to a linear dose-response.

24 DR. ELLEN CHANG: Yeah. Here,

1 actually, there weren't a lot of studies that did a p  
2 for trend. And so when I considered whether they  
3 looked at a biological gradient, it was actually not  
4 just testing p for trend, but whether, for example,  
5 they categorized into quartiles and then looked at  
6 whether the relative risk estimates increased across  
7 quartiles. And then also looking at spline. So yeah,  
8 I agree that it takes more than just a p for linear  
9 trend.

10 **DR. SHARON SAGIV:** Yeah. And also that  
11 if you don't have a consistent increase across  
12 quartiles, it could mean that there is a threshold  
13 effect.

14 **DR. ELLEN CHANG:** It could.

15 **DR. SHARON SAGIV:** So that's not --  
16 doesn't rule out an association.

17 **DR. ELLEN CHANG:** That's right.  
18 Although in those cases, often the PE for linear trend  
19 will still be statistically significant.

20 **DR. SHARON SAGIV:** Thank you.

21 **DR. DAVID JETT:** Okay. I think you  
22 have a flight to catch, so I'll just make this more of  
23 a comment. In terms of pushback, I would just say  
24 that, for those of us who've been looking at this for



1 a while, I think your assertion that there's no  
2 evidence. And you know, the evidence -- you can  
3 critically review the evidence, but to say there's no  
4 evidence of noncholinesterase mechanisms for  
5 neurotoxicity or toxicity, both in vitro and in vivo,  
6 I don't think is quite right.

7 **DR. ELLEN CHANG:** The plausibility one?

8 **DR. DAVID JETT:** No, I think it was  
9 coherence, I think.

10 **DR. JAMES MCMANAMAN:**

11 **DR. ELLEN CHANG:** Coherence.

12 **DR. JAMES MCMANAMAN:** I think you said  
13 that there were no animal studies indicating that it  
14 was lower.

15 **DR. DAVID JETT:** Yeah, I'd push back a  
16 little bit on that. I --

17 **DR. ELLEN CHANG:** Right. I think I  
18 would say established.

19 **DR. JAMES MCMANAMAN:** Okay. Okay. So  
20 Dr. Rohlman.

21 **DR. DIANE ROHLMAN:** Good comments. I -  
22 - good discussion. I think just a point to make about  
23 your temporality, too, about new information of long-  
24 term exposure is really -- specifically, the Columbia

1 cohort is not looking at long-term exposure. They're  
2 looking at prenatal exposure.

3 So you know, the goal is not to look  
4 long-term. In fact, they've -- use various reasons  
5 we've discussed about that. So you need to emphasize  
6 that at least that study is focused on prenatal  
7 exposure which has long-term effects.

8 **DR. ELLEN CHANG:** Yeah. I guess I  
9 would question whether the cord blood necessarily  
10 captures all prenatal exposure.

11 **DR. JAMES MCMANAMAN:** Dr. Pessah.

12 **DR. ISAAC PESSAH:** I just wanted to  
13 emphasize what Dr. Jett said. I think there are --  
14 well, I would ask you, in making that statement, did  
15 you review the literature for behavioral outcomes in  
16 mice or rats that couldn't correlate those changes  
17 with cholinesterase, whether they be brain, blood or  
18 otherwise?

19 **DR. ELLEN CHANG:** I personally did not  
20 review the literature. I relied on other reviews that  
21 have been conducted and I looked at their summaries.

22 **DR. JAMES MCMANAMAN:** Dr. Pependorf?

23 **DR. WILLIAM POPEENDORF:** Hi. Will  
24 Pependorf. Just a question on Slide 14 that you had

1 up earlier, just what do you -- what are you really  
2 saying there. For instance, Mt. Sinai, no monotonic  
3 trends by DEP, parenthesis (not tested)? Several "not  
4 tested." So what do are you saying?

5 **DR. ELLEN CHANG:** Yeah. So here they  
6 looked at the relationship between categorized  
7 exposure. So they -- I think it was either tertiles  
8 or quartiles of DEPs and then they looked at this with  
9 respect to the outcome, which here was a  
10 neurodevelopmental outcome. And they did not see that  
11 the risk of the outcome increased monotonically across  
12 increasing categories of exposure. So they didn't  
13 statistically test for it, which is why I put "not  
14 tested." It's just when you visually look at the  
15 relative risks, they don't increase with increasing  
16 exposure categories.

17 **DR. WILLIAM POPENDORF:** So if you don't  
18 see it, you don't test for it, basically. That's what  
19 you're saying that's what they did, right?

20 **DR. ELLEN CHANG:** I'm not going to say  
21 why they didn't test for it, but --

22 **DR. WILLIAM POPENDORF:** Well, okay.  
23 Good point.

24 **DR. JAMES MCMANAMAN:** So you making a

1 point up here that there is a linearity between the --  
2 the Columbia study between chlorpyrifos and what was  
3 it, Full-Scale IQ. So how do you get a linearity  
4 trend when most of the data points, in terms of the  
5 concentration, are below the level of quantitation? I  
6 don't understand that.

7 **DR. ELLEN CHANG:** I think it's that  
8 scatter plot has been shown a number of times where  
9 there was quite a bit of scatter. And I think, you're  
10 right, the vast majority of the exposure measurements  
11 are in that very low range. It's just statistically  
12 when they ran a linear regression they detected a  
13 statistically significant trend. But this is  
14 something that has come up that, you know, many  
15 investigators out there would like to have access to  
16 the raw data so that they could, I think, examine the  
17 robustness of that trend.

18 **DR. JAMES MCMANAMAN:** Yeah. So in your  
19 view, if most the data in that linear trend is below  
20 the level of quantitation, is that a valid -- would  
21 that invalidate that portion of the study or does it -  
22 - or is there still value in it?

23 **DR. ELLEN CHANG:** I wouldn't want to  
24 say it's invalid. I think it needs to be questioned

1 and examined more with regard to how sensitive it is  
2 to excluding the non-detectable levels.

3 **DR. JAMES MCMANAMAN:** Okay. Thank you.  
4 Other questions? All right. Good luck on your  
5 flight.

6 **DR. ELLEN CHANG:** Thanks.

7 **DR. JAMES MCMANAMAN:** Next presenter is  
8 Dr. Hinderliter from Syngenta.

9 **DR. PAUL HINDERLITER:** All right. So I  
10 recognize that we're running quite long on time here,  
11 so I will endeavor to do this as quickly as I can. So  
12 I am not an epidemiologist. I am a pharmacokinetic  
13 modeler by training and that's what we're going to  
14 focus on in the next few minutes. So bear with me  
15 here. This is going to be quite a different talk than  
16 we've heard from any of the other public comments  
17 today. So we do have detailed written comments on the  
18 docket. You should have all received them.

19 For a matter of background, I was at  
20 the Pacific Northwest National Lab for many years.  
21 During that time, we had a contract with Dow  
22 AgroSciences and I was involved from about 2007 to  
23 2011, through the 2011 model SAP and the development  
24 of the PBPK model. I am now not currently affiliated

1 with Dow AgroSciences or any of them. I am at  
2 Syngenta.

3 So our comments here today are based on  
4 the methodology for including pharmacokinetics and  
5 pharmacokinetic models in the applications of the  
6 biomarkers to the quantitative risk assessment.

7 So having said that, my comments are  
8 going to be more generic to the methodologies than to  
9 chlorpyrifos itself.

10 So as the EPA has acknowledged, there's  
11 been a big change in what's actually going on in the  
12 risk assessments over the last few years. The 2014  
13 assessment used acetylcholinesterase. The current  
14 assessment uses a nonspecific neurodevelopmental  
15 endpoint.

16 The pharmacokinetic model that  
17 underpins both of the assessments has not changed.  
18 But the application of it has, as I will demonstrate  
19 here, has changed quite a bit. So there's a lot of  
20 implications for these changes that haven't really  
21 been covered in the current analyses. And I would say  
22 that given all of the things that I'm going to show,  
23 that we don't have a tool that's sufficient for doing  
24 a quantitative risk assessment at this point in time.

1                   So the PBPK model, it was developed, as  
2 most good models are, across an entirety of a dataset.  
3 So there's chlorpyrifos, it's metabolized to the  
4 oxone. There's TCP and then there's a pharmacodynamic  
5 component to the model which we've shown in the EPA's  
6 diagram where there's inhibition of cholinesterase in  
7 plasma blood, RBCs and then in target tissues of brain  
8 and diaphragm.

9                   And what's happened here is that all of  
10 that dataset goes in comprehensively into the model  
11 development. The application currently for the model,  
12 however, now focuses on parent chlorpyrifos. One  
13 piece out of the entire string of data that went into  
14 this model development and arguably one of the weaker  
15 sets of the data because it's apparent that disappears  
16 fairly rapidly. And when you fit the entirety of the  
17 model, you don't focus on just one thing. You try to  
18 fit the entire unit. So I've got a graph coming up  
19 that'll demonstrate hopefully, fairly clearly, as to  
20 why this covers -- why this is such an important  
21 change.

22                   If you were to just work on  
23 chlorpyrifos parent, you'd probably need to re-  
24 parameterize this model and I don't know what that

1 would look like. So predictions that are based on  
2 this model might not have any bearing on what a  
3 chlorpyrifos optimized model would be. And the prior  
4 validation exercises don't cover that.

5 So here's a set of some data that was  
6 used in the model parameterization. The colors are a  
7 little difficult to see, unfortunately on the screen.  
8 You have them on your printouts. Each of the colors  
9 represent a different dose level. So the greens at  
10 the top are -- the points are blood levels are  
11 measured in chlorpyrifos, following an oral dose to a  
12 rat. The green line is a simulation of that data. So  
13 there's five dose levels in this dataset. There's  
14 less data, as you get down into the lower reaches and  
15 you start to run towards your limits of quantitation  
16 and detection.

17 Let's point at couple of things on this  
18 graph then. So this is just chlorpyrifos. It's an  
19 adequate fit of the data, if your goal is to fit  
20 acetylcholinesterase inhibition as a pharmacodynamics  
21 endpoint.

22 For fitting pharmacokinetics of parent  
23 chlorpyrifos, it lacks a whole lot of detail on it.  
24 So if you look at the two red arrows I've drawn there



1 overlaying on the left side, for the second -- and  
2 actually, the two dose levels, the peak  
3 concentrations, they're close to the right magnitude.  
4 They're often timed by several hours. The lower dose  
5 level, which is actually of greater import, because  
6 we're extrapolating this, as you'll see. Quite a bit  
7 below this model dataset doesn't fit at all. It's  
8 half an order of magnitude off.

9           If you look at the time points that  
10 have the large red bracket there on the right-hand  
11 side, those are our 12-hour time points. The last  
12 measured chlorpyrifos samples in these bloods. They  
13 measured out further, I believe, but they didn't  
14 detect any. And those aren't also very well fit by  
15 the model. You can see that the green curve  
16 undershoots, that the blue curve overshoots the  
17 yellowish curve, I think it is, overshoots it quite a  
18 bit. And then there's this behavior to the right-hand  
19 side that isn't parameterized. We don't know what  
20 happened out there. It's hypothesized that this is  
21 potentially correct, because the rest of the dataset  
22 fits. But that was over an entire set of data which  
23 we're now neglecting in the current assessment.

24           In addition to that, as I mentioned,

1 when you get off to the further time points and into  
2 the lower concentration ranges, you're getting beyond  
3 where the model has actually been validated. The  
4 experimental doses, they're -- and you know, 1 to 1000  
5 milligrams per kilogram. They're obviously higher  
6 than we're talking about here. And that's not  
7 necessarily a bad thing. You extrapolate PBPK models  
8 all the time. That's one of their utilities.

9 But I would argue that their best  
10 utility is cross-species extrapolation, cross-dose  
11 route, cumulative dose routes. Extrapolating to lower  
12 doses is one of the weaker things that happens,  
13 particularly in this application where you're going  
14 down in order of magnitude or two, you're going down  
15 six or eight orders of magnitude. It's a huge  
16 difference.

17 And something was made in the EPA  
18 presentations that they're not using the model to  
19 derive the point of departure. That is technically  
20 true. They're taking the point of departure off of  
21 the benchmark dose fitting of the blood levels and the  
22 -- whatever the pharmacodynamic endpoint study was.

23 But they do use -- turn right around  
24 and use the model in the calculation of the RFDN and a

1 margin exposure. So it's used in the same  
2 concentration level. So they didn't use it on the  
3 point of departure, but it is used in the assessment.

4           So here's the same data put on the pg/g  
5 concentration scale. So the data points up at the top  
6 are the same ones that I've shown on the graph a few  
7 minutes ago. The curves down at the bottom are  
8 simulations I took from the model code that was  
9 presented in the docket. So the greenish line that's  
10 labeled as the 99<sup>th</sup> percentile is one of the  
11 simulations that were run out of the EPA's presented  
12 model for human at the 99<sup>th</sup> percentile. It goes above  
13 the LOD for a little while and then drops back down by  
14 about 12 hours.

15           If you were to take a single dose or  
16 some terminal dose, you get this sort of pseudo-steady  
17 state that they've made great -- put great import on  
18 and we'll see why in a minute. That's even down a  
19 couple of orders below the LOD and six or eight below  
20 where your experimental data are.

21           So the model isn't validated in this  
22 range. And I'll show you in a second on another graph  
23 why that's such a problem. So the model predicts this  
24 behavior that we've seen these saw tooth patterns a

1 lot of times where it drops down, you get another  
2 dose, drops down and it goes, you know, ad infinitum.  
3 There's a different behavior, though,  
4 that happens below the levels where that rodent data  
5 that the model was calibrated on. And it's this long-  
6 tailed path life that allows you to actually have a  
7 pseudo persistent compound which chlorpyrifos is not,  
8 but it gives you that. And there is data out there in  
9 rats from an older study from Smith and a human  
10 poisoning case where there is a longer half life.  
11 It's probably due to something, you know, it's got a  
12 high fat partition, so it's probably some sort of  
13 sequestration in the fat. But this isn't anything  
14 that was ever demonstrated in the model, so we don't  
15 actually know whether or not the model does this.  
16 It's just sort of consistent with it, which is a  
17 pretty weak argument.

18 For the acetylcholinesterase  
19 assessments that were done 2014 and prior, it's not as  
20 much of a problem because they fit in much higher  
21 concentration ranges. So you're moving to an endpoint  
22 that is less accurate and less precise. I'll leave it  
23 up to others to decide which is the more appropriate  
24 one. But you're moving into areas where you don't

1 know pharmacokinetically what's actually happening.

2 So here's a saw tooth. It's -- you  
3 know, this is one of the ones I think I took out of a  
4 water exposure, which is why it doesn't have quite the  
5 same decline that you're seeing from the home, but you  
6 get this repeated exposure.

7 If you turn off the exposure, you get  
8 the orange curve, which goes down and has a rapid drop  
9 for about 12 hours or so and then there's this long  
10 half-life that goes on. And if you take it out, you'd  
11 expect to see that there ought to be some folks that,  
12 if they've got this long half-life and that, you know,  
13 it's monthly or even sub monthly, when you talk about  
14 the worker exposures that the farmworkers may not have  
15 quite as much protection, you ought to see people that  
16 have higher blood levels and that have been observed.

17 My point here today, though, is that  
18 this data is below where you actually know what's  
19 going on. So you've -- here you've got this model  
20 that predicts the saw teeth. And there's some data  
21 in this range. Then it declines at this different  
22 behavior.

23 Well, it could be any one of these  
24 dotted lines and they're not terribly inconsistent

1 with the observed experimental data on longer half  
2 lives and they're all well below the limit of  
3 detection for these things, so you don't know what's  
4 going on. So your dose metric that you're taking for  
5 the cord blood has huge amounts of uncertainty, you  
6 know, in its timing and other things that was  
7 mentioned before, but just in terms of where the model  
8 actually says the thing is. You don't know.

9           So -- and this question has come up a  
10 couple of times. I was asked by the panel of the EPA  
11 that -- what does this actually mean in terms of a  
12 mode of action. So acetylcholinesterase we have a  
13 fairly good idea of what happens. It's -- the dynamic  
14 response is quite a bit slower than the kinetic  
15 response. The kinetic response happens over a few  
16 hours and then there's this long tail. The  
17 pharmacodynamic response to the acetylcholinesterase  
18 has a much longer half life. So if you get a dose  
19 today and dose tomorrow, a dose the next day, you're  
20 going to start to see this depression in the  
21 acetylcholinesterase, but you need a cessation of  
22 exposure for it actually come back up to its baseline  
23 levels. So it makes a difference if you've gotten  
24 exposure one day a month and then another month, as

1       opposed to day 1, 2, 3.

2                       But when you move to a nonspecific  
3       endpoint you don't know what the behavior of this  
4       thing actually is. Is it something that is a peak  
5       effect? Does it matter if you go over it for one day?  
6       Does it matter if you stay over your exposure for a  
7       longer period of time? Does it -- what exactly does  
8       the shape of the pharmacodynamic response look like?  
9       And this is different from all of the work that's been  
10      done to examine the exposure. And they may have a  
11      fairly good, you know, from all of the worker SOPs and  
12      residential things. There may be a fairly robust  
13      estimate of what the exposures look like. That  
14      doesn't mean that you know what the dose metrics  
15      should be and what the response would actually be.  
16      That just means that you can explain what some of the  
17      exposures look like. That's different from actually  
18      knowing what that exposure does.

19                      And the other wrinkle in that is that  
20      most -- so PBPK isn't biomonitoring, it's a great  
21      idea. It's a tool that isn't quite ready, though,  
22      because what you've done -- most of the successful  
23      illustration of PBPKs in biomonitoring are persistent  
24      chemicals, things where you have a longer, you know, a

1 longer reservoir and longer measured samples, you know  
2 what's going on over time.

3 The chlorpyrifos PBPK model, there is  
4 some -- it was mentioned by one of the EPA folks,  
5 there is a repeat study that was simulated by this.  
6 But when I went back and looked at it last night, they  
7 don't actually simulate the chlorpyrifos levels over  
8 the entirety of the sub chronic feeding study, you  
9 notice they don't simulate the chlorpyrifos levels.  
10 They simulate acetylcholinesterase inhibition. So  
11 that doesn't mean that you've actually validated the  
12 model over the range of what you're looking and the  
13 endpoint that you're looking.

14 Someone asked -- also mentioned  
15 yesterday the sensitivity analysis would be a  
16 fantastic idea for this because we don't know what's  
17 actually important in this. And that was the subject  
18 of a lot of the work in 2011 was what does population  
19 variability look like.

20 So in explaining acetylcholinesterase,  
21 we had identified the metabolizing enzymes and then  
22 the acetylcholinesterase variation. You can go and  
23 look and see what the variation in the population is  
24 in these particular key things that were identified by



1 a sensitivity analysis and see how the population  
2 variability might play into this response.

3 I'm not entirely sure how you do that  
4 on a nonspecific endpoint. Is it the metabolism of  
5 the chlorpyrifos or not? I don't know, because we  
6 don't have a clear dose metric on this.

7 So having said that, I think the agency  
8 has been asked to do an undoable task at this point,  
9 which is to take a non-quantitative endpoint in the  
10 epidemiology study, take a quantitative model which  
11 was designed for a different purpose, and try to fuse  
12 them together.

13 If we were talking about a different  
14 chemical under a different scenario, it might be  
15 something that works. But under the situations that  
16 we have here, it doesn't come together quantitatively  
17 and that's sort of the magic word that ought to wake  
18 you up in the middle of the night thinking about this  
19 is that this is a tool for quantitative risk  
20 assessment. So until you can do the quantitative  
21 parts along all the way through here and all of the  
22 wrinkles about limits of detection and mechanisms and  
23 all of these things, they're not just trivial things  
24 where you can take one tool, throw it against another

1 one and have something actually come together.

2 With that, I hope I -- I talked a  
3 little longer than I intended. Sorry. I'll take any  
4 questions that there are.

5 **DR. JAMES MCMANAMAN:** Thank you. Any  
6 questions for -- yes, Dr. Hayton.

7 **DR. WILLIAM HAYTON:** Yes, I have one.  
8 The issue paper makes quite a lot out of the five-day  
9 --

10 **DR. PAUL HINDERLITER.** Yes.

11 **DR. WILLIAM HAYTON:** -- log linear  
12 phase for the elimination of chlorpyrifos. And it  
13 seems to be, to me, what you're saying is that that is  
14 a very soft number. They also have these 10-hour  
15 post-peak and 24-hour post peak. And there's quite a  
16 bit of import put on that. Could you comment on that?

17 **DR. PAUL HINDERLITER:** Yeah. So the  
18 model does -- that's what the model predicts. So if  
19 your presumption is that the model is adequate, then  
20 you run the simulations and it says at 10 hours you  
21 get this, at 24 hours you get that. That's the way  
22 PBPK models typically get used.

23 The point I'm making is that longer,  
24 that 120-hour half life isn't something that was ever

1 parameterized into the model. So when we developed  
2 this -- and it started long before I got to PNNL, but  
3 with Chuck Timchalk's work, the focus had always been  
4 on acetylcholinesterase. So we were looking at a  
5 typical pharmacodynamic endpoint, not at the behavior  
6 of the parent chlorpyrifos.

7 So there were some slides in the 2011  
8 SAP variability presentations where we showed that the  
9 rodent doses were in this range and you could explain  
10 the variability and you draw it down to the human and  
11 there's some -- you know, it's in this range. But we  
12 never actually tried to quantitate it because it was  
13 beyond the range of where we thought the model was  
14 valid. So we just said, rats, humans, that's a big  
15 difference. But now they're moving into actually  
16 using it for a quantitative assessment and that's not  
17 -- we don't consider that to be valid. Dr. Sagiv.

18 **DR. SHARON SAGIV:** Sharon Sagiv, U.C.  
19 Berkeley. Were you done?

20 **DR. JAMES MCMANAMAN:** You're welcome.

21 **DR. PAUL HINDERLITER:** Sorry.

22 **DR. SHARON SAGIV:** I'm sorry I  
23 interrupted that very important comment. So I'm not a  
24 toxicologist or a risk assessor.

1 DR. PAUL HINDERLITER: Neither am I.

2 DR. SHARON SAGIV: So this might sound  
3 like an ignorant question. But in epidemiology, it's  
4 often the case that we see effects of a toxin exposure  
5 on an outcome without a mode of action and we  
6 speculate on what it might be. And we just don't have  
7 maybe the data or the animal model or whatever it  
8 takes to isolate a specific mechanism.

9 Are we saying with this that we  
10 shouldn't be basing any risk assessment on exposure  
11 outcome associations that don't have a specific mode  
12 of action?

13 DR. PAUL HINDERLITER: No. No, I'm not  
14 saying that at all. Actually, we have several  
15 examples of PBPK models that don't have a clear mode  
16 of action, but work has gone into examining whether or  
17 not it seems to be a cumulative effect or a peak  
18 effect. So if you can at least get an idea of what  
19 this sort of behavior looks like, then you can do  
20 something with it.

21 So it's not that you have to have a  
22 specific pharmacodynamic model, but in this particular  
23 case, rolling it back to the point where it is now, I  
24 don't think any -- it doesn't sound like from the

1 discussion I have heard so far, anybody really has a  
2 clear idea of what a dosimeter would be on this. And  
3 that's why I keep coming back to quantitation is that  
4 that's fine for an association in a weight of evidence  
5 and those sorts of things.

6 But when you're talking about having a  
7 quantitative number for calculating an RfD or a margin  
8 of exposure, that's where you actually need a defined  
9 dose metric.

10 **DR. SHARON SAGIV:** And I would point to  
11 a lot of other chemicals, lead being just a legacy  
12 chemical, where it's not clear when and at -- if it's  
13 -- they don't know if it's chronic or acute. They  
14 don't know when the hit is, but they know that lead  
15 affects intellectual development. So that's clear.  
16 And I don't know that we would be able to develop a  
17 PBPK model for lead. But there isn't. All right.  
18 Okay. Whatever.

19 I know from the EPI -- I mean, from the  
20 EPI studies I know they don't know if it's a multiple  
21 hit model, a one-hit model, a chronic model. I don't  
22 think they have isolated the exact time when lead is  
23 most harmful in development in neurodevelopment. But  
24 we know that the effects are there.

1                   So I guess it's troublesome to know  
2                   that we, in the absence of that exact data we can't  
3                   set some standards here, is what I'm saying.

4                   **DR. JAMES MCMANAMAN:** Other questions?  
5                   Dr. Rohlman.

6                   **DR. DIANE ROHLMAN:** Go ahead.

7                   **DR. JEFFREY FISHER:** So could you go  
8                   back to the --

9                   **DR. JAMES MCMANAMAN:** This is Dr.  
10                  Fisher.

11                  **DR. JEFFREY FISHER:** Yes, that's Jeff  
12                  Fisher.

13                  **DR. JAMES MCMANAMAN:** Not Dr. Rohlman.

14                  **DR. JEFFREY FISHER:** Could you back to  
15                  the slide with the simulations showing, I guess, rat  
16                  data at the beginning?

17                  **DR. PAUL HINDERLITER:** I think I --  
18                  this one?

19                  **DR. JEFFREY FISHER:** Yeah, a rat. So  
20                  what model is being used here? I mean, it's not the  
21                  EPA human model.

22                  **DR. PAUL HINDERLITER:** Yeah, so this is  
23                  -- this is the model that -- so there is only one  
24                  model. It's just parameterized differently for the

1 rat and the human. This is taken from files that were  
2 provided by Dow to simulate their data. It is the  
3 same model that is in -- that is used by the EPA in  
4 the risk assessment. Just -- it's used here for the  
5 rodent, instead of for the human.

6 **DR. JEFFREY FISHER:** So the publication  
7 of this is by what author?

8 **DR. PAUL HINDERLITER:** The data from  
9 this is from one of the Timchalk publications. It's  
10 from around 2002.

11 **DR. JEFFREY FISHER:** Okay. Okay. So  
12 is this in it, this publication?

13 **DR. PAUL HINDERLITER:** I don't know  
14 that this exact graph is. Because it's interesting,  
15 when you go back and look through all of the papers  
16 that present the model, there's not much made of  
17 parent chlorpyrifos. There's many graphs of  
18 acetylcholinesterase and of TCP, chlorpyrifos, there's  
19 less data. It doesn't fit quite as well. There's not  
20 as much made of it across the publications.

21 **DR. JEFFREY FISHER:** I agree with that,  
22 because I went looking for all the rat time-quest data  
23 for chlorpyrifos and human data. There is data, but  
24 not as much as you might think. But there's a lot of

1 model development work when you account for metabolism  
2 in pharmacodynamics, as you said. But this is like  
3 over a decade's worth of work. And I'm just surprised  
4 you didn't think the modeling is ready.

5 **DR. PAUL HINDERLITER:** Well, you have  
6 to remember that the decades' worth of work was  
7 focused on an entirely different goal. All along, the  
8 development has been to support acetylcholinesterase  
9 inhibition. So that's where all of the effort has  
10 been focused.

11 Can you take dietary exposures and  
12 predict human acetylcholinesterase inhibition across a  
13 varied population? That doesn't really talk very much  
14 about parent chlorpyrifos. And that's my point in  
15 this. This is one of the better developed PBPK models  
16 I have ever been involved with. There is a large  
17 amount of data there. There has been a lot of  
18 assessment on this model. There's been a lot of  
19 interest in it, but not for this application. So this  
20 strips away.

21 And you know, I found it a little bit  
22 troublesome that all of the graphs or all of the  
23 schematics of the model show the entire model. You  
24 know, they showed the parent and then the parallel for



1 the metabolites and then the pharmacodynamic model, as  
2 if that's what's being used, because it's not. What's  
3 being used here is just the parent chlorpyrifos.

4 **DR. JEFFREY FISHER:** All right. So do  
5 you believe that the fat, the way their plasma  
6 partition coefficient is a potential real driver for  
7 a long half-life?

8 **DR. PAUL HINDERLITER:** Potentially,  
9 yes, because it does have a quite high partition co-  
10 efficient -- like I said, there is a human poisoning  
11 case that shows a half life that's consistent with  
12 this, but it's not. You know, it's a poisoning case,  
13 so it's up in the range of the rodent data. Does that  
14 kinetics actually translate down in the range of the  
15 population exposures that we're considering here and I  
16 don't know.

17 **DR. JEFFREY FISHER:** Thank you.

18 **DR. JAMES MCMANAMAN:** Dr. Rohlman.

19 **DR. DIANE ROHLMAN:** Diane Rohlman. So  
20 I'm moving outside my comfort zone, so I hope you can  
21 help me clarify. On Slide 10, if you could go there,  
22 this is the human oral exposure. I'm trying to  
23 understand many things. But right now, let's focus on  
24 -- according to this model or this picture and I think

1 you've had a nice explanation. I just want to confirm  
2 that I'm understanding it.

3 **DR. PAUL HINDERLITER:** Okay.

4 **DR. DIANE ROHLMAN:** Is that the level  
5 of uncertainty really occurs if we follow that orange  
6 line down, after that first exposure there?

7 **DR. PAUL HINDERLITER:** Yes.

8 **DR. DIANE ROHLMAN:** And that as we get  
9 lower and lower, we don't think the model, the PBPK  
10 model is predicting things accurately?

11 **DR. PAUL HINDERLITER:** Yes. And in  
12 that, I've drawn it here after a single exposure, but  
13 these are the same drafts that you would see like the  
14 -- in the EPA and the Dow presentations where, if it's  
15 after a month's worth of exposure or something, when  
16 you cease exposure you see this sort of decline.

17 **DR. DIANE ROHLMAN:** So we would be  
18 concerned about levels that were down at those low  
19 levels about their accuracy?

20 **DR. PAUL HINDERLITER:** Yes. And that's  
21 what the -- how the cord bloods are being tied back.  
22 So that the presumption and why there's so much  
23 discussion about the time of labor is that they're  
24 making the assumption that the time of labor is long

1 enough that you're sort of out of that initial alpha-  
2 phase decline.

3 And you're somewhere into this beta  
4 phase where it's a -- not a steady state, but it's a  
5 much slower decline, so it doesn't matter. You know,  
6 once you get out of that rapid decline, being an hour  
7 or two off doesn't make a whole lot of difference.

8 **DR. DIANE ROHLMAN:** Sure. And most of  
9 the cord blood levels were below the limit of  
10 detection.

11 **DR. PAUL HINDERLITER:** Yes.

12 **DR. DIANE ROHLMAN:** So therefore, we  
13 give them the half at the limit of detection, just so  
14 they're not a zero in our data analysis.

15 **DR. PAUL HINDERLITER:** Mm-hmm.

16 **DR. DIANE ROHLMAN:** And that's a common  
17 metric that's frequently used.

18 **DR. PAUL HINDERLITER:** Yeah. Well, and  
19 I would say if you look at this graph, you know, half  
20 of the limit of detection is an arbitrary and high  
21 number, so.

22 **DR. DIANE ROHLMAN:** Is arbitrary?

23 **DR. PAUL HINDERLITER:** Arbitrary and  
24 high. Higher than I would expect. If you were, and

1 this is just one dose, but if you get over the first  
2 12 hours of the sensation of exposure, you get almost,  
3 I would say about a three-order of magnitude decline,  
4 a two-order of magnitude decline in the blood level.  
5 So it's likely to be significantly lower than the  
6 limited detection at the levels that we're talking  
7 about.

8 **DR. DIANE ROHLMAN:** But then the other  
9 group, the cutoff is at 6. something.

10 **DR. WILLIAM POPENDORF:** 6.17.

11 **DR. DIANE ROHLMAN:** P/pg, which would  
12 be at the top of those peaks, if I'm not correct -- if  
13 I'm correct.

14 **DR. PAUL HINDERLITER:** Yes.

15 **DR. DIANE ROHLMAN:** If I'm correct.

16 **DR. PAUL HINDERLITE:** Yes.

17 **DR. DIANE ROHLMAN:** So part of the  
18 reason or part of the discussion here has focused a  
19 lot on the use of two groups with the Columbia data.  
20 And there's been uncertainty about those values there.  
21 But it seems that the model is more robust at coming  
22 up with those higher values.

23 **DR. PAUL HINDERLITE:** Yes and no.

24 **DR. DIANE ROHLMAN:** Well --

1                   **DR. PAUL HINDERLITE:** So if you look at  
2 this graph, this is one of those saw teeth there in  
3 that 99th percentile line. So it does rise up and,  
4 the EPA's bar graph shows this, that it will rise up  
5 for a few hours. But if you get down into the -- even  
6 from the 6 milligrams, if that were your peak, even  
7 from 6, by 12 hours, you would be -- or even by about  
8 6 hours, you would be back below that limit again.

9                   **DR. DIANE ROHLMAN:** Right. But the  
10 cord bloods were measured hours after the exposure,  
11 presumably, up to two days after the exposure. Yet,  
12 we still are seeing levels up around 6 or higher.

13                   **DR. WILLIAM POPENDORF:** Cord blood.

14                   **DR. DIANE ROHLMAN:** Cord blood.

15                   **DR. WILLIAM POPENDORF:** It was in  
16 (inaudible).

17                   **DR. DIANE ROHLMAN:** Right. So we would  
18 expect, if you go back to the previous -- well, if you  
19 could stay here. Either one works. We would expect  
20 that exposure has ended and they drop down. So the  
21 fact that we're seeing levels at 6 or higher  
22 picograms, means that the exposure has declined  
23 significantly. So --

24                   **DR. PAUL HINDERLITE:** Yes.

1                   **DR. DIANE ROHLMAN:** -- in fact, that  
2 six in the cord blood could be underestimating the  
3 actual exposure?

4                   **DR. PAUL HINDERLITE:** Well, so that was  
5 what -- a big point of a lot of the EPA presentations  
6 was is that, with that, it depends on when you pick  
7 that time to actually be. So they showed it with a 10  
8 and a 24-hour matching of that of some certain number  
9 and then you'd draw back to what the peak would have  
10 been at that. And yes, that is significantly higher  
11 when you're at that peak. But I'm not sure how you'd  
12 draw the exact computation.

13                   **DR. DIANE ROHLMAN:** I guess the point  
14 is that the levels that are measured in the cord blood  
15 we can assume are underestimates of the exposure  
16 because they are taken several hours after --

17                   **DR. PAUL HINDERLITE:** Well, they're a  
18 snapshot of the exposure. I don't think anyone said  
19 that they're the peak of exposure.

20                   **DR. DIANE ROHLMAN:** I didn't say they  
21 were the peak. I said they were an underestimate of  
22 the actual exposure, because of this model, which has  
23 showed me --

24                   **DR. PAUL HINDERLITE:** Well, yes. What

1 I would posit is that, given the shape of these  
2 curves, all you can actually probably say is that the  
3 exposure was higher for the preceding day or so.  
4 Beyond that, you don't actually know what the  
5 exposures look like at all.

6 So you can draw, you know, sort of one-  
7 day window off of that. And if you then presume that  
8 you know something about the actual environment, you  
9 can potentially reverse engineer what their exposure  
10 might have looked like. But you don't -- that cord  
11 blood dosimeter actually only tells you about maybe a  
12 day's worth of exposure.

13 **DR. DIANE ROHLMAN:** Mm-hm. Okay.

14 **DR. PAUL HINDERLITE:** Yeah.

15 **DR. DIANE ROHLMAN:** Thank you.

16 **DR. JAMES MCMANAMAN:** No questions?

17 All right. Thank you very much.

18 **DR. PAUL HINDERLITE:** Thank you.

19 **DR. JAMES MCMANAMAN:** The last  
20 presenter is Lynn Heilbrun. UTE School of Medicine,  
21 San Antonio.

22 **DR. LYNN HEILBRUN:** I'm not sure how  
23 this -- it's on.

24 **DR. JAMES MCMANAMAN:** Yes.

1                   **DR. LYNN HEILBRUN:** I appreciate the  
2 opportunity to speak to you today. My name is Lynn  
3 Heilbrun and I am researcher and a mother of three. I  
4 am here to comment as a mother who has had to watch  
5 her children suffer for 25 years from infancy through  
6 adulthood with the neurological effects associated  
7 with chlorpyrifos.

8                   Our exposures began in 1990 when we  
9 realized that our home was flea-infested. We called  
10 in an exterminator service. I did not know that I was  
11 pregnant. Even though our exposures occurred prior to  
12 the indoor ban, pregnant women and children are still  
13 being exposed through pesticide drift, agricultural  
14 use and food intake.

15                   My symptoms during the first trimester  
16 are what I now recognize as a classic OP toxidrome. I  
17 was struggling to stay awake, had trouble with  
18 concentration, chronic nose drainage, uncontrollable  
19 diarrhea, confusion, and severe headaches.

20                   I was diagnosed with rhinitis at  
21 pregnancy, migraines due to hormonal changes and  
22 irritable bowel syndrome with no prior personal or  
23 family history of any of these symptoms.

24                   My son was born full term in 1991 and



1 had severe colic with explosive bowel movements. He  
2 screamed night and day for months on end. As an  
3 infant and toddler, he suffered from recurring ear  
4 infections and was chronically ill with other  
5 infections.

6 His weight fell from the 50<sup>th</sup> percentile  
7 at birth to the 5<sup>th</sup> percentile by 12 months. His  
8 pediatrician ran tests that indicated immune system  
9 irregularities, but they couldn't figure out what was  
10 wrong. Meanwhile, we continued with chlorpyrifos  
11 treatments.

12 As time progressed, my son showed  
13 developmental delays. I would call his name, but he  
14 wouldn't respond and all of his hearings tests were  
15 normal.

16 When he was five, he could not carry on  
17 a two-way conversation. His loud, aggressive and  
18 sometimes explosive behaviors had become so troubling  
19 at school and at home that we sought a professional  
20 diagnosis. He was diagnosed with memory and attention  
21 deficits, gross motor delays and speech delays and  
22 social problems. The majority of these deficits are  
23 observed in the Columbia cohort. In spite of an IQ of  
24 121, he could not read until he was almost eight years

1 old. Lead tests fell within the normal range.

2 At nine years old, he was diagnosed  
3 with fine motor disability. At 13, he was diagnosed  
4 with PDD on the autism spectrum. The majority of  
5 these deficits or this diagnosis -- I'm sorry, this  
6 diagnosis, is associated with chlorpyrifos exposure in  
7 the Columbia study.

8 His younger brother was born in 1994.  
9 His colic wasn't as severe as his brother's, but he  
10 too suffered from recurrent otitis media and fell off  
11 the growth chart within a year. He was noticeably  
12 hyperactive at three years old.

13 And his first-grade teacher suggested  
14 holding him back because he spent more time under his  
15 desk than in his seat. His evaluation at that time  
16 revealed visual motor deficits, however, his IQ was  
17 136. And his superior oral and verbal reasoning  
18 scores placed him at 11 years old.

19 In the third grade, he was diagnosed  
20 with ADHD, dyslexia and auditory processing disorder.  
21 The last chlorpyrifos exposure in our home occurred in  
22 August of 2000. I need to clarify that we have had no  
23 family history of any of these diagnoses. My boys,  
24 ages 6 and 9 developed bad coughs and I thought I had

1 a GI flu.

2 About two weeks following the  
3 extermination, a copy of *U.S. News and World Report*  
4 caught my eye. The cover page was about environmental  
5 poisons being linked to learning disabilities. The  
6 story was about the new restrictions for indoor use of  
7 chlorpyrifos and diazinon, how children could inhale  
8 up to 250 times the safe levels after a basic crack  
9 and crevice treatment.

10 I panicked and called Poison Control  
11 and they told me that my boys' symptoms were not a  
12 symptom of this exposure. I decided to take my sons  
13 to the emergency room anyway. Fortunately, the ER  
14 doctor had just received special training in OP  
15 compounds and recognized the symptoms.

16 When I told him what Poison Control had  
17 said, he replied angrily, "Oh, hell, yes, they are a  
18 symptom of this exposure. People die from respiratory  
19 failure from this exposure."

20 They received breathing treatments in  
21 the ER. They had no previous history of asthma. The  
22 physician advised me to move out until I had  
23 environmental clean-up. I took the children straight  
24 from the ER to a friend's home.

1                   That night, I was awakened by my nine-  
2                   year-old who was crying and gasping for air. He was  
3                   in acute respiratory distress. We rushed to the ER.  
4                   His radiology report showed symptoms consistent with  
5                   reactive airway disease and sections of his lungs were  
6                   collapsed.

7                   Over the next year, I remained very  
8                   ill, losing more than 30 pounds. I could not work.  
9                   Fortunately, I had taken the MCAT the day before the  
10                  exposure, but had to have help completing my  
11                  applications and be driven to my first medical school  
12                  interview because I was so tired and weak that I  
13                  couldn't make the four-hour drive by myself.

14                  I made a personal commitment during my  
15                  recovery that I would educate families and physicians  
16                  on the health effects of chlorpyrifos. I regained  
17                  enough cognitive function the following year to pursue  
18                  a career in environmental health and was awarded an  
19                  EPA fellowship to pursue a Master's degree in public  
20                  health.

21                  I had my home tested for chlorpyrifos  
22                  by the same lab that was used in the Columbia study.  
23                  The levels were consistent with the Columbia study's  
24                  levels where they were seeing developmental deficits.

1 This was four years post application with no pesticide  
2 use.

3 I had the levels in 2010 again measured  
4 and it was still detectable 10 years post application.  
5 That same year, I was recruited to join the South  
6 Texas Autism Research Group as their research  
7 coordinator. A year later, we discovered chlorpyrifos  
8 metabolites in children's baby teeth.

9 I will never forget the day that I  
10 received the email that chlorpyrifos metabolites were  
11 detected in my sons' teeth. It was gut-wrenching. It  
12 showed that my sons' bodies were storing this toxic  
13 compound.

14 The same year we submitted our blood  
15 for genetic analysis and PON1 status. It turns out  
16 that my sons and I are below the 10<sup>th</sup> percentile in the  
17 ability to detoxify this pesticide. Human PON1  
18 studies show a tremendous range of vulnerability to  
19 chlorpyrifos and therefore the 10X intra-species  
20 extrapolation is an appropriate rationale.

21 Meanwhile, my children's teeth hold  
22 clues to their in utero and early childhood exposure  
23 to chlorpyrifos. We have documented levels in their  
24 teeth and in our home. My son's IQ scores were

1 significantly lower than his previous test, following  
2 his last chlorpyrifos exposure. And there are major  
3 diagnoses are deficit for deficit in line with those  
4 found in the Columbia children.

5           These are all neurological deficits  
6 that your panel is familiar with. But I would like to  
7 translate what it means to a child and to our family.  
8 For my oldest son, it translated into uncontrollable  
9 meltdowns that lasted for over an hour. We could not  
10 take him to restaurants or public places for fear of  
11 the meltdowns or his wandering off.

12           The motor deficits translated to a  
13 child who was last off the starting line in PE every  
14 time the whistle blew, who was dead last in every  
15 event, in every swim meet, in spite of how hard he  
16 tried and did not give up for over eight years.

17           It translated to a child that can score  
18 well on exams, but can't seem to find his shoes,  
19 books, or homework, even though he had them just a  
20 minute ago.

21           It translated to a child who, even at  
22 18 years old, can't sign his name or tie his shoes, a  
23 kid who has an IQ in the 94<sup>th</sup> percentile. His social  
24 deficits translated into an awkwardness that attracts

1 constant bullying from elementary school through  
2 college and in the workplace.

3 He is in his seventh year of  
4 undergraduate school in college and is bussing tables  
5 at a restaurant over the last year. For my youngest  
6 son, it translated to a child whose self-esteem was so  
7 low that he didn't want to go to school and would cry  
8 because he couldn't read until well after the third  
9 grade, in spite of having an IQ in the 99<sup>th</sup> percentile.  
10 It translated to impulsive behaviors which led to a  
11 traumatic expulsion in the fourth grade and calls  
12 throughout middle and high school from principals.

13 Today my boys are 22 and 25. Both  
14 suffer from severe anxiety and episodes of depression,  
15 symptoms consistent with chlorpyrifos exposure in  
16 adults. Both of these children had IQs in the gifted  
17 range, but chlorpyrifos robbed them of their full  
18 potential and neurologically handicapped them.

19 The continued use of  
20 acetylcholinesterase inhibition as a point of  
21 departure is unconscionable when we have evidence from  
22 several cohorts that these neurological deficits are  
23 occurring at levels far lower than indicated by  
24 acetylcholinesterase inhibition.

1                   The Scientific Advisory Panel has an  
2                   obligation to protect the most vulnerable children and  
3                   mothers by using the most protective measure  
4                   available. I implore you to use the best available  
5                   science for the point of departure. Columbia cord  
6                   blood and maternal blood levels provide real  
7                   exposures, the best data there is for this decision.

8                   In closing, it is my opinion that the  
9                   EPA should revoke all use of chlorpyrifos. Thank you.

10                  **DR. JAMES MCMANAMAN:** Thank you. Any  
11                  questions? Okay. Thank you very much. So this ends  
12                  our public commenters time. And if there are any  
13                  other -- anyone else in the audience who would like to  
14                  make a public statement related to this charge topic,  
15                  please come up now. We'll provide you some time. If  
16                  not, then we will move on to the charge questions. If  
17                  the agency's okay, we'll take a quick break, five  
18                  minutes.

19                  **DR. ANNA LOWIT:** Yes, one question for  
20                  you. Are you planning to go past 5:00? Are you going  
21                  to stop at five? Some people are asking.

22                  **DR. JAMES MCMANAMAN:** The plan is to  
23                  stop at 5:00, but if we happen to be in the middle of  
24                  something, we may go a little bit longer.



1 (Brief recess.)

2 **DR. JAMES MCMANAMAN:** All right. So on  
3 reconsidering, we went a little bit longer than we  
4 intended to today. We were supposed to finish up  
5 tomorrow. So it could be a long day today or it could  
6 be a long day tomorrow.

7 So why don't we try to go a little  
8 longer today and get as much done as possible without  
9 making everybody brain dead. So if we can get  
10 started, we can have the first charge question read  
11 into the record.

12 **MR. MARK DYNER:** This is Mark Dyner  
13 from the EPA Office of General Counsel, before we do  
14 that, we do have a couple of clarifying responses to  
15 the comments, if we could, just to put into the  
16 record, if that's acceptable?

17 **DR. ANNA LOWIT:** We'll be quick.

18 **MR. MARK DYNER:** We'll be very quick.

19 **DR. ANNA LOWIT:** Very quick.

20 **DR. JAMES MCMANAMAN:** Okay. Okay.

21 **MR. MARK DYNER:** I do want to make a  
22 couple of clarifying legal points for the record, in  
23 response to the comments. There was an assertion by a  
24 commenter earlier. I believe, it was the Center for

1 Regulatory Effectiveness, that suggested that EPA  
2 could not rely on the Columbia study lawfully in a  
3 regulatory setting without having access to raw data.

4 While we agree that EPA administration  
5 policy, including EPA's Information Quality Act  
6 Guidelines encourage transparency in our assessments,  
7 to the extent possible, nothing in law, policy, or  
8 regulation actually requires that EPA have access to  
9 the raw data, underlying published literature in order  
10 for us to consider these data in setting regulatory  
11 standards. And in fact, the D.C. Circuit has upheld  
12 on two occasions, the EPA's use of peer-reviewed  
13 epidemiological data in setting cleaner act standards  
14 where EPA did not possess the raw data.

15 And the courts have made clear that no  
16 such raw data requirement exists in law and that if  
17 the EPA were, in fact, required to review underlying  
18 raw data in all instances in order to consider any  
19 public literature in the regulatory process, it would  
20 have to forgo consideration of a lot of important  
21 science.

22 The other remark I'd like to address is  
23 about the litigation on chlorpyrifos in the 9<sup>th</sup> Circuit  
24 and whether the court can modify the December 30, 2016

1 deadline for completing action under tolerance.

2 To clarify, on June 30<sup>th</sup> of this year,  
3 we must submit a status report to the court. And in  
4 that report, we must address if there are any  
5 extraordinary circumstances making completion of the  
6 rule by December 30 impracticable. Not impractical,  
7 but impracticable. We will address that issue and  
8 make that determination at that time. But obviously,  
9 we will need a strong basis for any extension request  
10 to the court of the final deadline.

11 That's all I have on the legal points.

12 **DR. SCOTT SLAUGHTER:** This is Scott  
13 Slaughter.

14 **DR. JAMES MCMANAMAN:** Well, I think I'm  
15 going to say no, and I'm going to say no for this  
16 reason is that the panel is evaluating the science and  
17 we're not evaluating the regulation and we're not  
18 evaluating the legal situation.

19 So we appreciate Mr. Dyner's comments,  
20 but they really have no real bearing on the issues in  
21 which we're going to evaluate today and during our  
22 deliberations.

23 **DR. ANNA LOWIT:** Thank you. This is  
24 Anna Lowit. I've got a really quick one. And so we

1 heard -- it's been a long day of public comments. I  
2 just wanted to point out that Dr. Driver's  
3 presentation on behalf of Dow this morning included  
4 many inaccurate comments that we don't have time to go  
5 through nor is it necessary.

6           However, it's important for you to  
7 understand that his statements about the spiking the  
8 samples above 15 pg/g with respect to the Barr lab is  
9 inaccurate. With every run they did, they spike  
10 samples at the LOD to verify the LOD with every run  
11 batch.

12           **DR. JAMES MCMANAMAN:** Thank you.

13           **DR. ANNA LOWIT:** One more comment from  
14 me and then Cecilia is going to make some comments on  
15 the PBPK. Just one other point to clarify.

16           **DR. JAMES MCMANAMAN:** No. We can't. I  
17 don't think we can do comments. We've --

18           **DR. ANNA LOWIT:** Oh, no, I just mean  
19 clarifications of the comments that were made.

20           **DR. JAMES MCMANAMAN:** Okay.

21           **DR. ANNA LOWIT:** Sorry.

22           **DR. JAMES MCMANAMAN:** All right.

23           **DR. ANNA LOWIT:** We do, the EPA, it has  
24 been said the EPA does not use published literature to

1 fulfill data requirements and that, in fact, is not  
2 the case, we do. And Cecilia?

3 **DR. CECILIA TAN:** This is to respond to  
4 Dr. Fisher's questions, clarifying questions because -  
5 -

6 **DR. JAMES MCMANAMAN:** We'll take that  
7 up when we do the deliberations. And you'll have a  
8 chance. If there's any uncertainty or any  
9 clarifications needed --

10 **DR. CECILIA TAN:** I just want to  
11 provide him the reference.

12 **DR. JAMES MCMANAMAN:** Oh, okay. That's  
13 fine.

14 **DR. CECILIA TAN:** And he was asking for  
15 the data and model simulation where it is, you can  
16 find it in the Prueitt 2014, includes both rat and  
17 human model and data and then you can evaluate of  
18 whether or not that model is appropriate.

19 **DR. JAMES MCMANAMAN:** Okay, all right.  
20 Thank you. It's a tough job.

21 **DR. BETH HOLMAN:** Hi. This is Beth  
22 Holman, OPPHED. I'm just going to start reading the  
23 questions into the record.

24 **DR. JAMES MCMANAMAN:** Yeah, Charge

1 Question 1a.

2 DR. BETH HOLMAN: Okay. Do you want me  
3 to switch right to Question 1.a.? Do you want to read  
4 -- there's an initial paragraph before Question 1.a.?

5 DR. JAMES MCMANAMAN: Go ahead. Read  
6 the initial paragraph.

7 DR. BETH HOLMAN: Okay. So first  
8 question is: Life stages for consideration and this  
9 is looking at Section 4 of the issue paper. The  
10 preamble paragraph says, fetuses may be exposed to  
11 chlorpyrifos through the mother, while infants and  
12 children are exposed directly through dietary  
13 exposure, food and drinking water.

14 The agency has conducted case studies  
15 to use blood data as a surrogate to evaluate the  
16 health impacts on fetuses and infants from exposure to  
17 chlorpyrifos in Section 6.

18 Based on the available data -- okay,  
19 based on the available data, there are several  
20 assumptions that are being made in order to assess  
21 exposure for specific life stages.

22 Moving on to the -- okay, Question 1.a.  
23 is on fetal exposure. Without a gestational model  
24 that is parameterized with chlorpyrifos information,

1 fetal exposure cannot be assessed directly. However,  
2 maternal and cord blood concentrations on chlorpyrifos  
3 from Columbia are highly correlated, as seen in Figure  
4 1 and preliminary evaluation of the Dow gestational  
5 PBPK model suggests little differences in blood levels  
6 between pregnant non-pregnant women. Therefore, the  
7 agency has concluded that the parameterized model  
8 which is available for females 13-49 years old, can be  
9 used as a reasonable surrogate for estimating fetal  
10 exposure. These comments on the agency's proposal to  
11 use female blood levels as a surrogate for fetal  
12 exposure.

13 **DR. JAMES MCMANAMAN:** Okay. The  
14 discussants on this are Drs. Fisher, Carr, Hayton,  
15 Pependorf and Sobrian. Dr. Fisher is the lead  
16 discussant.

17 **DR. JEFFREY FISHER:** Well, this is my  
18 first time. So, I would like to say that our group  
19 really wanted to know the intent of the question and,  
20 as a consequence, when each individual talks, I think  
21 you'll hear a variety of comments.

22 Most people agreed and said, yes, a  
23 simple answer to the question. Now I'm going to read  
24 my particular comments beyond a "yes." The term fetal

1 birth cord blood, chlorpyrifos concentrations, are  
2 correlated with mother's blood concentrations. That's  
3 been discussed a lot and we've seen the graphs several  
4 times. So they do track each other.

5 I think obtaining raw data is  
6 important, in particular, the data for the mother-  
7 infant pairs, 64 samples. If the levels are  
8 detectable that would provide the most informed  
9 information about this relationship, if that's  
10 possible. It would reduce uncertainty, I think, in  
11 comparing the two blood samples.

12 The blood has been used, to me, means  
13 whole blood, but really a lot of the samples are  
14 plasma. And in the modeling world, there's a big  
15 difference between whole blood and plasma. So I think  
16 when the collected data is plasma and the model  
17 simulations are plasma, plasma should be used in the  
18 document. That's the end of my comments.

19 **DR. JAMES MCMANAMAN:** And Dr. Carr?

20 **DR. RUSSELL CARR:** Simplicity, simply  
21 is the -- please comment on the agency's proposal to  
22 use female blood samples as a surrogate for fetal  
23 exposure.



1                   The answer is yes. But that answer is  
2 time limited. You cannot take a blood sample and then  
3 use that one sample to estimate the exposure that  
4 happens months prior. That blood sample -- we've been  
5 using the pharmacological kinetic model. It's only  
6 good for about 30 days.

7                   **DR. JAMES McMANAMAN:** Dr. Hayton.

8                   **DR. WILLIAM HAYTON:** Well, I'd like to,  
9 first of all, echo Dr. Fisher's comment about the  
10 interchangeability that seems to exist between, you  
11 know, using blood versus plasma. And there, as Dr.  
12 Fisher pointed out, they're in pharmacokinetic models,  
13 they're not the same thing. So I just think that can  
14 be straightened out. But I think it should be.

15                   And a lot of that - some of that  
16 confusion comes right out of the Columbia studies  
17 themselves where they - Whyatt's 2003 paper where  
18 they'll talk state in that paper that blood samples  
19 were drawn and centrifuged and plasma was collected  
20 and sent on for analysis and then they'll present the  
21 data in tables. Sometimes it's blood, sometimes it's  
22 plasma, so I don't put that on the agency as their  
23 error. Timchalk's paper does a similar kind of thing,  
24 between blood and plasma.

1                   Getting more to the question at hand of  
2 whether the female blood level can serve as a  
3 surrogate for field exposure, I think the evidence for  
4 that is that the cord blood and the maternal blood,  
5 even though they're separated in time by a day or two,  
6 perhaps, or less, the fact that they're similar, that  
7 their ratios are about one. And you could expect  
8 differences based on the different lipid contents of  
9 the mother's and the cord - the fetus's blood and the  
10 time interval between the samples. But I think given  
11 that and given the high correlation between the two,  
12 to me, it makes sense to use female blood level as a  
13 surrogate for fetal exposure.

14                   Now - and then I would hasten to add  
15 that that's at the time of measurement. So then, how  
16 good an index is that of exposure for the preceding --  
17 you know, you can't go back nine months on that one  
18 point.

19                   You know, so if the 120-hour terminal  
20 half life is valid, I think you could go back a couple  
21 of half lives, say 10 days, with some confidence. And  
22 then before that, you pretty much have to say, well,  
23 environmental exposure that led to that measured  
24 level, if you assume that's more or less a constant

1 over the pregnancy then, you know, making that  
2 assumption that it is a surrogate.

3 Let me see if I have anything else  
4 here. Oh, I had a brief statement and it's fairly  
5 obvious. You could use the lifestage PBPK model to  
6 actually simulate - well, I say that now, but after  
7 hearing one of the recent comments about how the model  
8 really wasn't designed to simulate chlorpyrifos, maybe  
9 this isn't so true. But anyway, as an exercise, you  
10 could use the lifestage model and simulate the  
11 mother's and the fetus blood level timed profiles or  
12 not.

13 **MS. CECILIA TAN:** Cecilia Tan.

14 **DR. JAMES McMANAMAN:** No.

15 **MS. CECILIA TAN:** Can I respond?

16 **DR. JAMES McMANAMAN:** Go ahead. Sorry.  
17 You'll get a chance in just a minute.

18 **DR. WILLIAM HAYTON:** Okay. Thanks.

19 That ends my -

20 **DR. JAMES McMANAMAN:** Are you - your  
21 comments are finished then? Okay. Dr. Pependorf.

22 **DR. WILLIAM POPENDORF:** Yes, thanks.

23 Mine is probably is one of those that had the most  
24 uncertainty exactly what the question was related to.

1 And I - so I was thinking if the question relates to  
2 using the PBPK model to female blood levels as a  
3 surrogate for pregnant women's blood levels, I was  
4 comfortable with that. In fact, I think that's really  
5 preferable to using cord blood because it's the most  
6 stable within the range of the sample collection  
7 protocols used in the Columbia studies.

8 If the question related to the use of  
9 female blood as a surrogate for the 8 percent missing  
10 cord blood values used via the maternal cord blood  
11 pairs, I actually have some serious concerns with that  
12 because the results found by Whyatt et al 2004 are  
13 very non-linear and show a fairly wide range in some  
14 areas of levels. We don't know where those data come  
15 from and I'm going to address that more in a further  
16 question. But that is a concern.

17 And then I concurred with the comments  
18 about, you know, using the female blood data, it does  
19 - you really don't have the ability to detect changes  
20 beyond 10 days or so. If there were changes in  
21 exposure, it's the most stable, then you can assume  
22 constant exposures. But if it did change, you  
23 couldn't tell. Nonetheless, it's the best available,  
24 in my opinion.

1 DR. JAMES McMANAMAN: Dr. Sobrian.

2 DR. SONYA SOBRIAN: The answer to the  
3 simple question was, yes. I broke it down into pros  
4 and cons. As previously been said, there has been a  
5 correlation that's listed as .7 to .9 between fetal  
6 and maternal blood.

7 The other pro was that you could use  
8 the - you could do modeling, PK modeling from the  
9 parameters from the mother, but not from the fetus.  
10 And then, but the major problem with that, with the  
11 whole idea is that there's a single exposure sampling  
12 and that's, you know, for the cord blood. And then  
13 the sampling for the female is up to two days.

14 I think the question I wanted to ask  
15 was are you confident that all the maternal samples  
16 were taken at the birthing place before they had a  
17 chance to go home and then come back, because that  
18 might have changed the exposure?

19 The other thing that was problematic  
20 about using single exposure information was the fact  
21 that yesterday on somebody's slide, it was number 66,  
22 you showed that various - four different exposure  
23 parameters actually gave you the same point. So the  
24 "yes" is a simple yes. It's the best and as I said

1 before, it's the best -- of the choice, it's the best,  
2 but it has its problems.

3 **DR. JAMES McMANAMAN:** Okay. I will  
4 open this question up to the other members of the  
5 panel for comments. Yes, Dr. Sweeney.

6 **DR. LISA SWEENEY:** Lisa Sweeney. I  
7 would agree that the simulated nonpregnant female  
8 blood levels can be used as an adequate substitute for  
9 predicted levels in pregnant females. The data in  
10 Figure 1 are generally supportive of the proposed  
11 practice, but did not provide paired data for mother  
12 and child.

13 A better approach would be to derive  
14 maternal and cord ratios from the original care data,  
15 rather than to a limited number of unpaired values at  
16 discrete percentiles.

17 And what appears to be an unpublished  
18 draft manuscript from 2014 in Dr. Hattis' comments,  
19 Hattis and his colleagues, which included members of  
20 the CCCEH study group report doing such an analysis  
21 for 191 records where both samples were available and  
22 they determined the geometric mean of 1.2, the ratio  
23 of maternal to fetal with the 95<sup>th</sup> percentile range of  
24 1.065 to 1.35.

1 I would support using modeled female  
2 blood in place of modeled fetal blood levels,  
3 preferably, with an adjustment for the ratio  
4 determined by Hattis in conjunction with the Columbia  
5 investigators.

6 I would note that that doesn't account  
7 for pairings where the mother - where one might have  
8 been a nondetect and one a detect, which might seem to  
9 fall outside that ratio, especially if you look at the  
10 98-99 samples, the 25<sup>th</sup> percentile cord blood sample  
11 was a non-detect of the 25<sup>th</sup> percentile maternal sample  
12 for the same year was 2.6, but they're different  
13 numbers. These aren't paired data, so it's a little  
14 hard to say whether that suggests that there might be  
15 some outliers. But of course, it'd be preferable if  
16 we had the original data. But since the data where we  
17 do have paired data, that does seem to be a pretty  
18 tight distribution at somewhat less of a concern.

19 **DR. JAMES McMANAMAN:** Other comments?  
20 Other panel members? So I have a - Dr. Popendorf.

21 **DR. WILLIAM POPENDORF:** Just to check,  
22 you were aware that the Whyatt 2004 was paired data  
23 and they had a - you know, a nonlinear log-log  
24 equation that they developed?

1                   **DR. LISA SWEENEY:** But did they show  
2 the data? So I -

3                   **DR. WILLIAM POPENDORF:** They did not  
4 show the data.

5                   **DR. LISA SWEENEY:** So I - so we don't  
6 know if they included the nondetects at the - if you  
7 have a bunch of nondetects where you had the same  
8 detection limit for mother and child and called them  
9 both .5 and you put them into that correlation?  
10 That's why you'd need to know the end and whether or  
11 not detects or nondetects were excluded. I don't  
12 think we have that level of information available for  
13 analysis.

14                   **DR. WILLIAM POPENDORF:** Right. Nor did  
15 they really provide any confidence interval or our  
16 squared value. So it was --

17                   **DR. LISA SWEENEY:** And I don't remember  
18 all the details.

19                   **DR. WILLIAM POPENDORF:** -- it was  
20 paired data. That's - but you're right --

21                   **DR. LISA SWEENEY:** Right. But we don't  
22 have the --

23                   **DR. WILLIAM POPENDORF:** -- about the  
24 other points.



1                   **DR. LISA SWEENEY:** -- not -- the pairs  
2 include nondetect.

3                   **DR. JAMES McMANAMAN:** Dr. Pessah.

4                   **DR. ISAAC PESSAH:** I was just wondering  
5 if there was an issue in the lipid values for pregnant  
6 female versus nonpregnant females? They can be quite  
7 different. Does that alter the PK model, I guess, or  
8 is it already accounted for?

9                   **DR. WILLIAM HAYTON:** William Hayton. I  
10 - it was addressed by Dr. Dale Hattis in the materials  
11 that he gave us. And I read through that a while  
12 back. And he did draw a distinction, gave the  
13 differences in the lipid levels. I don't recall that  
14 he carried it through to the point of what would the  
15 equilibrium, you know, concentration ratio between the  
16 two, what would be the consequence of that different  
17 lipid profile. I don't know if he did that.

18                   **DR. JAMES McMANAMAN:** Okay. I have a  
19 question for my panel colleagues. What are your  
20 considerations in terms of the correlation between the  
21 paired data, when nearly all the levels were below the  
22 level of quantitation, not below the level of  
23 detection, but below the level of quantitation? How  
24 do you make judgements about the relationships who say

1 that there's good agreement, there's not a good  
2 agreement, when we're not in in a quantitative range?  
3 That's where I have the biggest confusion. So I'll  
4 open that up to anybody.

5 **UNIDENTIFIED FEMALE SPEAKER:** If you'll  
6 look at Figure 1 and you - I think we're talking about  
7 the combined data which is at the top. And yeah, but  
8 when you go further down and look at correlations that  
9 you can't measure, that's a problem, too. I guess  
10 that's why the correlations are ranged from .7 to .9.

11 **DR. JAMES McMANAMAN:** Right. Dr.  
12 Hayton, you had a - help me out here.

13 **DR. WILLIAM HAYTON:** Well, I didn't  
14 think about it in such a sophisticated way. I would  
15 say the - I just looked at the - I think it's called  
16 Figure 1, it's a table, anyway, in the white paper.

17 **DR. JAMES McMANAMAN:** Right.

18 **DR. WILLIAM HAYTON:** And it shows, you  
19 know, ascending concentrations.

20 **DR. JAMES McMANAMAN:** Yeah, they showed  
21 a pretty good correlation, but how reliable is that  
22 data if they're below the level of quantitation?

1                   **DR. WILLIAM HAYTON:** Well, I suppose  
2 the lower percentiles for - you know, they were  
3 showing .0025 or something like that in both columns.

4                   **DR. JAMES McMANAMAN:** Okay.

5                   **DR. WILLIAM HAYTON:** In both mother and  
6 cord probably are the undetects.

7                   **DR. JAMES McMANAMAN:** Dr. Sweeney.

8                   **DR. LISA SWEENEY:** We had the issue  
9 paper before we had the Hattis comments. So when I  
10 looked at the issue paper, mostly I just looked at the  
11 percentiles for which there were detects and looked at  
12 those and came up with about the same ratio as the  
13 Hattis analysis and came up with about maybe 1.3 and  
14 said, well, it seems like there's quite a large number  
15 that year. Not to me. And sort of, you know, back of  
16 the envelope, and came up with about 1.3. And when I  
17 saw the Hattis analysis, said, oh, well, that's  
18 similar, but there is the early data that has the --  
19 you know, like I mentioned that the maternal levels  
20 were detectable and when the fetal levels were not at  
21 the same percentile. That's the only thing that  
22 really gives me pause about the ratio is did you have  
23 mother-child pairs that had -- that you would infer  
24 that the ratio had to be substantially higher than

1 1.2, just based on what the level was in the mother  
2 when you couldn't detect it in the child?

3 **DR. JAMES McMANAMAN:** Okay. Dr.  
4 Popendorf.

5 **DR. WILLIAM POPENDORF:** Dr. Jenkins,  
6 could you pull up that slide, the Popendorf slide,  
7 first one? We can kind of go on, while he's pulling  
8 that up. But it's just a graphical presentation of  
9 the formula that's given by Whyatt 2004 based on  
10 pairs.

11 Again, we had just mentioned the fact  
12 they didn't really tell us what data. It was around  
13 100 or so radiate --paired points. And that equation,  
14 because it's not linear, goes way - I mean, it goes to  
15 ratios of 3, 3-1/2.

16 And the formula before that by Perera  
17 2002, I think, had ratios going as high as 5. If you  
18 were -- if they used data that covered the spectrum of  
19 values that were measured up to like 16 for cord  
20 values, in order to use that formula, if you get a 60  
21 - yeah, the one that slides, not the one that's - oh,  
22 no, not that one. I see. I didn't realize that was  
23 mine. Yeah, the other one.

1 Perera, anyway, the Perera equation  
2 which they used before the Whyatt equation was even  
3 more nonlinear and had ratios up to five within that  
4 range. So you know, without having the data, they've  
5 got conflicting information.

6 So that's the graph there with the  
7 maternal blood on the bottom, umbilical cord on the  
8 vertical scale. The solid line is the Whyatt  
9 equation, and the dotted line would be the 1:1 value.  
10 So FYI, it's different information, depending on what  
11 you look at.

12 **DR. JAMES McMANAMAN:** So the question  
13 about it is using one a reliable estimate of the  
14 other?

15 **DR. WILLIAM POPENDORF:** Well, you know,  
16 we've got, again, conflicting information. Where that  
17 equation came from, they didn't explain why they did a  
18 log, it's a log-log relationship. Wasn't it, yes.  
19 Log-log relationship. Let me think about that, yeah,  
20 that they used to derive that equation. So on the  
21 Whyatt, it's maternal blood to the 0.76 power.  
22 Without knowing what samples, what the values were  
23 that they used to generate it, we don't know how valid  
24 it is. We don't know why they picked a log-log.

1                   And we have the information that Dr.  
2                   Sweeney was talking about from Hattis. And again, he  
3                   didn't run a regression. He just has within a range,  
4                   most of the data is pretty close, so I suspect most of  
5                   the data is, as we know from the dots, is down around  
6                   the 10 picogram range on the cord side, which is  
7                   pretty close to 10 on the maternal side. But there  
8                   must be some range of the data they actually measure  
9                   that go way off of that.

10                   **DR. JAMES McMANAMAN:** Yes, Dr. Fisher.

11                   **DR. JEFFREY FISHER:** Yeah, I just  
12                   think, and it's been said several times, having data  
13                   would help people draw their own conclusions,  
14                   including the agency, on how to proceed.

15                   **DR. JAMES McMANAMAN:** So as a panel, is  
16                   that a major concern is not having that data and being  
17                   able to make an accurate assessment of this?

18                   **DR. JEFFREY FISHER:** I think so,  
19                   because it anchors everything they're doing here,  
20                   these blood samples. So to me, not having data was  
21                   just amazing, flabbergasting. What's going on?

22                   **DR. JAMES McMANAMAN:** Any other  
23                   comments? All right. So, Dr. Rohlman.

1                   **DR. DIANE ROHLMAN:** I don't know if  
2 it's appropriate to ask why we don't have the data or  
3 why the data is not available or not?

4                   **DR. JAMES McMANAMAN:** I'm not sure that  
5 it's --

6                   **DR. DIANE ROHLMAN:** Okay.

7                   **DR. JAMES McMANAMAN:** -- clear to why  
8 the data is not available. I think it's been  
9 requested. But for some reason, it's not available.  
10 And so we have to go on with what evidence that we  
11 have. So if there are no further questions or no  
12 comments, then I'll send it back to the agency.

13                   **MS. CECILIA TAN:** Clarifying comments  
14 or comments in general?

15                   **DR. JAMES McMANAMAN:** No, no, not  
16 comments in general.

17                   **MS. CECILIA TAN:** Okay, clarifying  
18 comments.

19                   **DR. JAMES McMANAMAN:** If our comments  
20 were not clear.

21                   **MS. CECILIA TAN:** Responding to  
22 questions.

23                   **DR. JAMES McMANAMAN:** Yeah.

1                   **MS. CECILIA TAN:** Okay. Cecilia Tan,  
2 EPA. In response to Dr. Hayton's questions, the  
3 lifestage model does not include the gestational  
4 component. So it doesn't have a fetus. So the  
5 lifestage is from birth on, until whenever. Yes, to a  
6 certain body weight.

7                   And then also you have now the members  
8 probably have some reservation about the use of PBPK  
9 model to predict chlorpyrifos concentration in blood,  
10 after hearing the public comment. And what I would  
11 like to ask for the members is for you to draw your  
12 own conclusion.

13                   **DR. JAMES McMANAMAN:** No, no, our  
14 conclusion is clear to the agency.

15                   **MS. CECILIA TAN:** Okay. So we don't  
16 have to.

17                   **DR. JAMES McMANAMAN:** That's - yeah.

18                   **MS. CECILIA TAN:** Okay. Again, I just  
19 want to mention everything that you're looking for is  
20 in that paper and that you can just compare whether or  
21 not you're comfortable.

22                   **UNIDENTIFIED FEMALE SPEAKER:** Your  
23 answer to the question is clear. And then you've  
24 answered the question. Thank you.



1                   **DR. JAMES McMANAMAN:** All right. So we  
2 can move on to the next question.

3                   **DR. BETH HOLMAN:** Question 1.b., Infant  
4 (>1 year old) exposure. Studies of chlorpyrifos in  
5 laboratory animals do not suggest any specific  
6 critical period or lifestage, but instead, suggests  
7 both pre- and postnatal periods of susceptibility.

8                   In contrast, there are limited  
9 epidemiological evidence regarding postnatal exposure  
10 to chlorpyrifos or other OPs to infants and children.  
11 Because brain development continues through childhood,  
12 and due to the concern that acetylcholinesterase  
13 inhibition may be not be protective of the  
14 neurodevelopmental outcome, the agency is proposing to  
15 use the chlorpyrifos cord blood data from CCCEH as the  
16 most relevant source of information for deriving a PoD  
17 for infants (See Appendix 4.0 for further details).

18                   Please comment on the agency's proposal  
19 to use cord blood data as a surrogate for assessing  
20 infant exposure.

21                   **DR. JAMES McMANAMAN:** Thank you. This  
22 Charge Question is led by Dr. Fisher. And Dr. Carr,  
23 Hayton, Popendorf and Sobrian are the associate  
24 discussion. Dr. Fisher.

1                   **DR. JEFFREY FISHER:** So I will get  
2 started and then the group will provide their  
3 comments. This Charge Question, I think, was viewed  
4 differently by people within the group. So I think  
5 there's less consensus about going ahead and trying to  
6 do what you're proposing.

7                   But at the same time, there was a lot  
8 of discussion about what do you really mean with this  
9 question and what are you going to do? So that was  
10 part of the discussion. And you'll probably hear that  
11 from people in the group.

12                   For me, I had a hard time trying to  
13 understand how you would use it. And then I started  
14 thinking from a pharmacodynamic standpoint that if you  
15 wanted to use these blood levels that come from in  
16 utero exposure that may be associated with adverse  
17 effects, you would then use those blood levels in  
18 doing simulation studies of the infant. And so you  
19 would simulate the infant which is in the document and  
20 compare your simulated exposure levels to the cord  
21 blood levels. That's where I took it. That's how I  
22 interpreted the question.

23                   I think because there's not a window of  
24 susceptibility, it's difficult to go into the infant

1 and use a point of departure from a fetal exposure and  
2 there's great uncertainty in doing that.

3 So my first thoughts are, no, it's  
4 probably not a good idea. It could be a good idea to  
5 do what I said as a feasibility study and exercise in  
6 understanding what kind of exposures might occur in  
7 infants, relative to the in utero exposure. I was  
8 unclear in the lifestage model if, from the newborn  
9 on, if the newborn included the body burden of  
10 chlorpyrifos from in utero exposure when you start the  
11 simulation.

12 And so, I guess the point I'm trying to  
13 make is that maybe for a few weeks or so, in utero  
14 exposure might mimic or the infant exposure might  
15 mimic the in utero exposure, then it really falls  
16 apart. And without a window of susceptibility, it's  
17 really difficult to associate those simulations with  
18 strictly postnatal effects. That's the end of my  
19 comment.

20 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
21 Carr?

22 **DR. RUSSELL CARR:** Cord blood data  
23 cannot be used as a surrogate for assessing infant  
24 exposure, unless you're studying the toxicological

1 effects of that gestational exposure. Once the birth  
2 occurs, the exposure of the infant is totally separate  
3 from that of the mother. The only exposure connection  
4 between the infant and mother would be breast milk.

5 Otherwise, the initial exposure would  
6 be through food and water. However, if the infant  
7 becomes mobile six to ten months and spends more time  
8 crawling on the floor, dermal and potential inhalation  
9 exposures may occur. These exposures are totally  
10 independent of the cord blood values.

11 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
12 Hayton?

13 **DR. WILLIAM HAYTON:** I agree with what  
14 the first two speakers said, that at the time of  
15 birth, I think that that's an index of exposure. But  
16 from then on, it's going to depend on the input rate  
17 into the infant. And I think Dr. Carr outlined that  
18 very well.

19 And then whatever the -- you know, the  
20 pharmacokinetics of distribution and elimination and I  
21 don't know how much is known about the developmental  
22 time course of metabolism and so forth, so it's been a  
23 problem. Thanks.

24 **DR. JAMES MCMANAMAN:** Dr. Popendorf.

1                   **DR. WILLIAM POPENDORF:** Well, I  
2 basically agree with Dr. Carr, as well, as far as the  
3 general infant exposure characterization. I'll also  
4 say if -- I wrote a paragraph here that may or may not  
5 occur in this or be included in this section. But you  
6 know, I personally don't really think that cord blood  
7 is usable as an exposure assessment for anyone here,  
8 really.

9                   So it would -- and part of the answer  
10 to this would be, no, in that case. But I think the  
11 other points I already made. I agree more with the  
12 other points that have previously been made.

13                   **DR. JAMES MCMANAMAN:** Dr. Sobrian.

14                   **DR. SONYA SOBRIAN:** My answer is no,  
15 also. I think we thought that maybe for the -- as for  
16 a neonate for the first 30 days of life, that cord  
17 blood might be a relative surrogate.

18                   But other than that, no, I wrote cord  
19 blood is like -- underestimate the exposure to  
20 infants. While cord blood data may be directly  
21 relevant to fetal exposures, it's relevant to infants  
22 and children. Levels and routes of exposure are  
23 likely to differ between the fetus and the infant,  
24 while the fetus that's exposed to chlorpyrifos only

1 through the mother, which is primarily the oral route,  
2 the infant is also exposed directly through the skin  
3 and maybe through inhalation, as well. So the answer  
4 is no. Not for the infant, maybe just for the  
5 neonate.

6 **DR. JAMES MCMANAMAN:** All right. This  
7 is open to the rest of the panel. Dr. Sagiv.

8 **DR. SHARON SAGIV:** This is Sharon Sagiv  
9 from U.C. Berkeley. So one thing that we haven't  
10 really talked about and I had a conversation with Dr.  
11 Pessah about it yesterday is the fact that -- I didn't  
12 realize this -- chlorpyrifos is lipophilic. And so I  
13 know they're not persistent -- it's not persistent. I  
14 studied persistent chemicals mostly. So when things  
15 are lipophilic, we think about them being in breast  
16 milk and has anybody considered that? I mean, you did  
17 mention breast milk. I think Dr. Carr did mention  
18 breast milk as a potential source of exposure. But  
19 since these aren't persistent, I don't know that  
20 that's actually valid.

21 **DR. RUSSELL CARR:** If I recall -- Dr.  
22 Carr -- but if I recall, someone mentioned that breast  
23 milk had not been put into the model. And am I  
24 incorrect about that?

1                   **DR. SONYA SOBRIAN:** Yeah. I wrote and  
2                   said, I've had the current model for PK research does  
3                   not include gestational or lactational exposure.  
4                   However, there are other models of lactational  
5                   exposure available.

6                   While the modified model reasonably  
7                   simulates the physiological changes during pregnancy,  
8                   meaning the model I used here, the model's  
9                   predictability to simulate internal dosimetry of  
10                  chlorpyrifos cannot be properly evaluated, since there  
11                  was no chlorpyrifos-specific pharmacokinetic data  
12                  available during pregnancy. So there are other  
13                  models. It's just -- it's not from what I read - if  
14                  I'm wrong -- that it's not being used in this -- in  
15                  the model that's here.

16                  **DR. RUSSELL CARR:** And that -- you  
17                  know, like that's just one source. But that -- I  
18                  mean, if you could -- that is a connection to the  
19                  mother.

20                  **DR. SHARON SAGIV:** Well, even -- it's -  
21                  - this is Sagiv here again. Even if the chemicals  
22                  aren't persistent, if the mother is continuing to be  
23                  exposed to residential spraying or however she's  
24                  exposed, I assume it would transfer to the infant via

1 breast milk. So I don't know that the persistent  
2 question is an issue here. I would be curious to know  
3 if there are chlorpyrifos levels in breast milk and  
4 you know, how they compare to, say, cord levels?

5 **DR. JAMES MCMANAMAN:** Well, that's --  
6 those are very interesting questions, but they're not  
7 part of the charge questions. Dr. Pessah.

8 **DR. ISAAC PESSAH:** I actually had a  
9 question. So are you saying that the uncertainty  
10 would err in underestimating or overestimating  
11 neonatal and juvenile exposures, given that there are  
12 other routes once birth occurs?

13 **DR. RUSSELL CARR:** The question says,  
14 cord blood data. And once that cord is severed, that  
15 source is eliminated. All others -- the sources may  
16 come through breast milk, they may come through the  
17 environment and they may come through food and water.  
18 But once that cord is severed, there's no more -- no  
19 longer a relation to cord blood. That animal -- I  
20 mean, that baby is on its own and so it's -- you know,  
21 exposure wise.

22 **DR. JAMES MCMANAMAN:** Is that clear?

23 **DR. ISAAC PESSAH:** Maybe I can rephrase  
24 my question. It's been a long day. So postnatal



1 exposures would be higher or lower than cord expected?

2 DR. RUSSELL CARR: I would expect them  
3 to be higher.

4 DR. SHARON SAGIV: I would agree with  
5 that. I think cord blood is an under -- would be an  
6 underestimation.

7 DR. JEFFREY FISHER: So --

8 DR. JAMES MCMANAMAN: Dr. Fisher.

9 DR. JEFFREY FISHER: -- through  
10 simulations, the answer to your question could be  
11 derived, if they haven't calculated that with a life  
12 stage model with all the assumptions about exposure  
13 and you can do comparisons -- the table and the  
14 appendix that we looked at last night.

15 DR. JAMES MCMANAMAN: Are we looking for  
16 this. This is -- okay, while she's looking -- this is  
17 Dr. Hayton.

18 DR. WILLIAM HAYTON: Just to respond to  
19 the question, the -- you know, whether it's going to  
20 be higher or lower. To me, I don't -- I have no idea.  
21 But the you know, big part of it is how quickly  
22 metabolism enzymes mature. And somewhere in all of  
23 the reading, I saw a graph that sort of showed that  
24 maturation of -- and there -- you know, over the first

1 two, three months, the activity of enzymes was just  
2 all over the place, from zero all the way up to fairly  
3 high. So I would expect in that neonatal period that  
4 the exposure -- in terms of systemic exposure or  
5 concentration in the blood -- could be all over the  
6 place, too.

7 **DR. JAMES MCMANAMAN:** Dr. Pependorf?

8 **DR. WILLIAM POPENDORF:** I don't know if  
9 it would help. Your comment, Dr. Hayton, was helpful.  
10 I think to try to get a handle to provide information  
11 about why we think what we're thinking.

12 And I think going back to Dr. Carr's  
13 comment and some others, I guess, there was really  
14 kind of two parts to the answer of is it higher or  
15 lower, because in neonatal is primarily going to be  
16 exposed through breast milk. They're pretty, you  
17 know, not mobile and well protected. So their  
18 exposure probably would be less, depending on what  
19 level is in the breast milk.

20 But once they become mobile and start  
21 crawling around, they're going to be on ground zero in  
22 terms of getting exposure. And so, then you know, six  
23 months to the year, they're going to get more  
24 exposure. So it -- I think the answer depends on just

1 what part of that year you're talking about, so.

2 DR. WILLIAM HAYTON: But by exposure,  
3 you mean input rates.

4 DR. WILLIAM POPENDORF: Yes.

5 DR. WILLIAM HAYTON: But systemic  
6 exposure you also have to look at how fast is it  
7 getting eliminated and you know, after six months the  
8 maturation of metabolism picks up quite a bit. So  
9 even though there's a bigger intake, it might be it's  
10 just really hard to say is what I would say, but you  
11 can't focus just on the input or the rate of  
12 elimination. It's a balance of the two, the ratio of  
13 the two, really.

14 DR. WILLIAM POPENDORF: Right. And I  
15 agree with that. And perhaps I shouldn't say dose  
16 would be more. So, Dr. Pependorf again.

17 DR. JAMES MCMANAMAN: Other comments?  
18 This is Dr. McManaman. Then if I could summarize the  
19 committee's view on this is that there is the use of  
20 cord blood as a surrogate is probably -- there's no  
21 justification for that. There's limited evidence that  
22 it would be a good surrogate.

23 And the committee seems to feel that  
24 focusing on cord blood, as opposed to other routes of

1 entry, which could also contribute to an infant  
2 exposure less than one year of age would be -- is  
3 shortsighted and we should -- there should be other --  
4 other routes should be considered. Is that accurately  
5 summarized?

6 **DR. STELLA KOUTROS:** Can I ask a  
7 clarifying question?

8 **DR. JAMES MCMANAMAN:** Sure. Sure.

9 **DR. STELLA KOUTROS:** This is Stella  
10 Koutros. I heard that for -- this is what I think I  
11 heard. For Question 1.a., that the discussants said  
12 that, at first, that they would accept the agency's  
13 proposal to use female blood levels as a surrogate for  
14 fetal exposure. If the correlation could be confirmed  
15 by the Columbia investigators with respect to the raw  
16 data on the mother-child pairs. That's what I think I  
17 heard. Is that not correct?

18 **DR. LISA SWEENEY:** Lisa Sweeney. I  
19 would say that's not exactly what I said. But I would  
20 say it's more that the simulated levels for the mother  
21 properly reflect the levels that you would predict for  
22 the fetus. That's not exactly the same thing as  
23 saying measured maternal levels are the same as  
24 measured fetal levels. I guess that's a nuance, but

1 not quite.

2 **DR. JEFFREY FISHER:** My only issue is  
3 time, what you do with the time. You know, the  
4 relationship between the mother and fetus, there's a  
5 ratio of error that could be modeled or figured out.  
6 But as far as you can't take one time and use it for  
7 the entire nine months.

8 **DR. JAMES MCMANAMAN:** So I think the  
9 upshot is that there was -- this is Dr. McManaman is  
10 there was uncertainty about how to actually make those  
11 correlations. Dr. Sagiv.

12 **DR. SHARON SAGIV:** This is just --  
13 keeps niggling at me. And maybe EPA can respond --  
14 when they respond, about the non-pregnant versus  
15 pregnant women. And I know this was in the last  
16 charge question. Could I just put it out there?

17 Given the hemodynamics in pregnancy, is  
18 the reason why we can use non-pregnant women as a  
19 surrogate for pregnant women because we're talking  
20 about measuring blood two days after the delivery when  
21 the hemodynamics may have settled down or because  
22 we're not looking at persistent chemicals? I would  
23 just think those hemodynamic changes the GFR and if  
24 these are lipophilic, the hemodilution. I feel like

1 that would be an issue during pregnancy, especially if  
2 you're taking blood sample during pregnancy. Is it  
3 not an issue because you're taking the maternal blood  
4 after pregnancy?

5 **DR. JEFFREY FISHER:** I think several of  
6 us at this panel have developed human pregnancy models  
7 and there are many differences, as you know,  
8 physiological, biochemical, that could be important in  
9 understanding kinetics, dosimetry. And for me, and  
10 it's probably primarily because of lack of data and  
11 through simulation, they did develop a pregnancy  
12 model.

13 In 2014, the POET model, someone who's  
14 been in the PBPK modeling for a long time and did  
15 theoretical simulations. And by that, I mean, no  
16 data, and compared that to a simulation of a non-  
17 pregnant female with all the physiology and there's  
18 very little difference. So that's the basis, I think,  
19 for EPA going to the non-pregnant female as a  
20 surrogate.

21 **DR. JAMES MCMANAMAN:** That was Dr.  
22 Fisher. Dr. Pependorf.

23 **DR. WILLIAM POPENDORF:** Will Pependorf,  
24 just to follow up with that and to clarify, I think

1 there was also the simulations were made with a weight  
2 increase on the female to simulate the additional  
3 lipid content to pregnancy. I don't know what those  
4 numbers were, but someone else might. So it's not  
5 just apples to apples, it's pears to apples.

6 **DR. JEFFREY FISHER:** Yes. There's  
7 tables listing all the physiological differences they  
8 accounted for in the model.

9 **DR. SONYA SOBRIAN:** Looking for it,  
10 it's in Appendix 6.

11 **DR. JAMES MCMANAMAN:** It was Dr.  
12 Sobrian. So -- this is Dr. McMananam again. So for  
13 my clarification right now there are no models that  
14 would allow the use of cord blood as a surrogate for  
15 infant exposure, that you're not aware of? Are you  
16 aware of models that would allow cord blood as a  
17 surrogate for infant exposure, validated models?

18 **DR. WILLIAM HAYTON:** No.

19 **DR. JAMES MCMANAMAN:** Okay. Other  
20 comments or questions for clarification?

21 **DR. SONYA SOBRIAN:** I think I'd just  
22 like to make a comment, because I know Dr. Fisher  
23 started off with the word "intent." And we had some  
24 trouble with that. But I think we just looked at the

1 word "use" without knowing what you want to use it  
2 for. So our answers are sort of just for the -- you  
3 know, if -- what would be a good surrogate? But it's  
4 just an answer. But it doesn't say that -- for what  
5 you want to use it. And now I think that's what you  
6 meant by intent. I just wanted to clarify, unless  
7 somebody think that's not.

8 **DR. JAMES MCMANAMAN:** Well, we can't  
9 ask that question at this point. We just have to --  
10 yeah. Right. So in your report, we can make that as  
11 a consideration that -- for the agency. Other  
12 comments, questions? Okay, then I'll take it back to  
13 the agency. And --

14 **DR. ANNA LOWIT:** So we did want to  
15 answer your question about the breast milk and then I  
16 had a clarification on what I heard.

17 **MS. DANA VOGEL:** So we do have, what's  
18 it about, 40 samples, more or less, from the pilot  
19 part of the National Children's study. They collected  
20 breast milk samples and chlorpyrifos was one of the  
21 compounds that was monitored. So there is some data  
22 that we have not -- a significant amount and I think  
23 it was only in a few locations. Right? A couple --  
24 two?



1                   **DR. ANNA LOWIT:** That's right. This is  
2 Anna Lowit -- from two locations and a relatively  
3 small number of moms who provided samples. But given  
4 the small samples, there are detects. There are  
5 detects of chlorpyrifos in breast milk. And we can,  
6 although understanding their limitations to the  
7 dataset that we have, we cannot -- because we don't  
8 have that lactational compartment of the model, we can't  
9 predict from today's exposure what might be in the  
10 breast milk.

11                   However, we can use those breast milk  
12 data in the same way we've used the water case studies  
13 that we showed yesterday to use those levels in our  
14 simulations of an infant drinking breast milk every  
15 few hours at a certain volume.

16                   So we are able to do some bounding  
17 exercise, using those data to get a sense of what may  
18 be in the infant from a breast milk feeding scenario.

19                   **DR. JAMES MCMANAMAN:** So that was Dana  
20 Vogel and Anna Lowit. So for this question that's  
21 been brought up, the committee has the capability of  
22 making recommendations or suggestions for the agency  
23 to include breast milk samples in their modeling, if  
24 required. But it's -- that may be just a way of

1 addressing the limitations of this use of cord blood.  
2 So back -- any further clarifications needed, with  
3 respect to this question?

4 **DR. ANNA LOWIT:** Yes, on this question.  
5 And I think it's going to be also in the next  
6 question, because I think I know what we're going to  
7 hear.

8 Just based on what you just said now, I  
9 think some of these issues are going to come back in  
10 Question 5, related to the point of departure, because  
11 one of things I'd like for all of you to think in the  
12 back of your mind, is that when we go to do a risk  
13 assessment, that we have to assess children at all  
14 ages.

15 So as you think about the relevance of  
16 what the cord blood is telling us, it's a metric that  
17 connects the neurodevelopmental outcome to an internal  
18 concentration, that connection, how we would then  
19 think about assessing the hazard piece of the infant  
20 and also the toddler, which is kind of the next one.

21 So these issues will come back again in  
22 Question 5. And so if there's still a lack of clarity  
23 amongst the group on how we would use those, we can  
24 bring back the case studies and add that.

1                   Jeff and I had an exchange yesterday on  
2                   -- we could put a line on the graphs. We can do that  
3                   if that would help you conceptualize what the numbers  
4                   would look like. So that's my clarification, number  
5                   one.

6                   My question to all of you is, I think  
7                   there's a clear answer of, no; however, I heard from  
8                   Dr. Fisher and from Dr. Sobrian that for one said a  
9                   few weeks, one said the first 30 days, that those data  
10                  may be relevant because temporally they're closer in  
11                  time.

12                  And I did not hear others comment on  
13                  that. Because have with the PBPK model, we can limit  
14                  an evaluation to that first 30 days of life. And keep  
15                  in mind that many infants are breast fed, but many are  
16                  also formula fed. And that those formula-fed infants  
17                  will be exposed to chlorpyrifos from the water that's  
18                  used to reconstitute the dry formula. And so we will  
19                  have to assess that life stage also.

20                  And so, if you don't mind, if some  
21                  people can comment on that first month or so or first  
22                  few weeks or whatever you want to call it

23                  **DR. JAMES MCMANAMAN:** I think that in  
24                  the panel's response, they can comment on that in

1 terms of limitations of using cord blood, what the  
2 limitations might be and what some other avenues of  
3 exposure could be.

4 But specifically related to the cord  
5 blood question, I think that they have to -- you know,  
6 it has to come out --

7 **DR. JEFFREY FISHER:** I have the answer  
8 for him.

9 **DR. JAMES MCMANAMAN:** Okay. Good.

10 **DR. JEFFREY FISHER:** So it's related to  
11 the question I had about body burden. So at birth, so  
12 you have cord blood that represents the fetal  
13 exposure.

14 But in the model, the gestation model,  
15 the model simulates the whole body, all the  
16 compartments, the total mass of compound in utero,  
17 even though you just look at cord blood.

18 So at birth, that mass transfers to the  
19 newborn baby and that was my question. You then  
20 simulate that under your exposure scenarios and you  
21 can understand the role of the body burden of  
22 chlorpyrifos at birth in terms of how long that body  
23 burden persists.

24 **DR. JAMES MCMANAMAN:** If we can move

1 on. So we can come back to the --

2 **DR. LISA SWEENEY:** I guess, if I could  
3 ask a question and you could determine whether or not  
4 EPA could answer it?

5 **DR. JAMES MCMANAMAN:** Well, all we're  
6 asking for is their clarification, whether we've  
7 answered this question.

8 **DR. ANNA LOWIT:** Dr. Fisher offers, I  
9 think, a really good suggestion on something we could  
10 do. But I didn't hear the answer to my question about  
11 what other panelists thought about that first 30-day  
12 window, because you had two panelists suggest the  
13 first 30 days may be appropriate with some caveats.  
14 But I didn't hear anyone else respond.

15 **DR. RUSSELL CARR:** Judging from that  
16 beginning body burden, if it's large enough, it could  
17 possibly last through the 30 days. But that just  
18 depends on the level of that amount in the cord blood.  
19 I mean, if we're going to measure how much is in the  
20 cord blood, relative to how much is the body burden of  
21 the infant, then you could use that for what you're  
22 talking about, as far as the first 30 days. But it  
23 would just depend on what that level is.

24 If it's really low, it's not going to

1 last long, unless the infant goes back what you say  
2 into a more -- you know, exposure situation through  
3 the breast milk. Well, then you have another aspect  
4 of the model.

5 **DR. JAMES MCMANAMAN:** That was Dr.  
6 Carr. So Dr. Carr, this is Dr. McManaman, then if  
7 that's the case, then would we not have to have  
8 pharmacokinetic data that would -- a model that would  
9 support that -- use of that body burden? And does  
10 that model currently exist?

11 **DR. RUSSELL CARR:** I do not know if  
12 that model currently exists. Maybe some other modeler  
13 -- so I'm not a modeler.

14 **DR. JAMES MCMANAMAN:** Oh, that -- okay,  
15 Dr. Sweeney?

16 **DR. LISA SWEENEY:** Yeah. I mean, you  
17 could set up a life stage model such that you start  
18 with an initial burden and then you'd have to make  
19 additional assumptions about what the ongoing exposure  
20 are. But it would be parameterized for infant  
21 physiology, neonatal.

22 **DR. JAMES MCMANAMAN:** And those models  
23 are available?

24 **DR. LISA SWEENEY:** Yes.

1 DR. JAMES MCMANAMAN: Okay. Dr.  
2 Hayton.

3 DR. WILLIAM HAYTON: And I'll just  
4 concur with that, if you're looking for quotes. But I  
5 think the closer you -- in time you get to the -- at  
6 the time of sampling, then the better it is as a  
7 measure.

8 DR. JAMES MCMANAMAN: This is Dr.  
9 McManaman, does the panel have an opinion about what  
10 that cutoff might be? At what point past birth, using  
11 cord blood would the data become very unreliable?

12 DR. WILLIAM HAYTON: I think it's all  
13 going to depend on the kinetics of elimination and  
14 what's the exposure rate. And I -- I don't know those  
15 things.

16 DR. JAMES MCMANAMAN: Okay.

17 DR. WILLIAM HAYTON: So I can't say.

18 DR. JAMES MCMANAMAN: So there's  
19 uncertainty? Dr. Fisher.

20 DR. JEFFREY FISHER: Well, there's  
21 uncertainty, but the EPA has an infant life stage  
22 model and they could simulate and calculate five half-  
23 wise or something. I mean, it could be determined  
24 theoretically.

1                   **DR. JAMES MCMANAMAN:** So this is Dr.  
2                   McManaman again. If that's -- so if an infant is  
3                   exposed both at the time of delivery through the cord  
4                   blood and through breast milk or through reconstituted  
5                   formula, models are available to take that into  
6                   account in order to determine the overall exposure?

7                   **DR. JEFFREY FISHER:** We let EPA verify  
8                   that's available?

9                   **DR. CECILIA TAN:** Yes. The life stage  
10                  models -- sorry, Cecilia Tan, EPA. The life stage  
11                  model does account for all the physiology and then  
12                  also metabolism rate differences between infants and  
13                  adults, it is included in the life stage model.

14                  And may I ask clarify questions? So  
15                  Dr. Fisher, let's say, if I understand the part that  
16                  there is this transfer of chlorpyrifos -- mass  
17                  transfer of chlorpyrifos to the fetus and then the  
18                  newborn. And if we just assume that -- if we modeled  
19                  -- the system model does not have the fetus  
20                  compartment. We will not be able to predict that  
21                  transfer. And then in that case, if we are able to  
22                  estimate good exposure -- the concentration, say, from  
23                  formula-fed infant, water concentration of exposure,  
24                  well, wouldn't you say that we will be underestimating



1 the blood concentration of infants in that case? That  
2 will be a --

3 **DR. JEFFREY FISHER:** Yes.

4 **DR. CECILIA TAN:** -- and -- okay.

5 Thank you.

6 **DR. JAMES MCMANAMAN:** Okay. Dr.

7 Pependorf.

8 **DR. WILLIAM POPENDORF:** You know, I  
9 guess I've sort of been listening without trying to  
10 see if there was consensus but I mean, again, I'd be a  
11 very strong no to this question of using that for the  
12 infants. A lot of that cord blood's going to have a  
13 four-hour half life, not a five-day half life.

14 And I also point out, you know, am I'm  
15 sure you know that Table 1.1 in our issues paper has a  
16 lot of that infant data in there, which was based on  
17 that model that you're referring to. So yeah, it  
18 exists and we even have some of that for drinking  
19 water and food, including infants less than one-year-  
20 old.

21 **DR. JAMES MCMANAMAN:** Other panel  
22 members? We've got one "maybe" and one -- a couple of  
23 "yeses" and a "no." And we're getting a partridge in  
24 a pear tree here in a minute.

1                   **DR. SONYA SOBRIAN:** Yeah. I think most  
2 of us said, if I remember, most -- I think that the  
3 answer was generally no. The only caveat was to use  
4 maybe the first -- look at the neonate.

5                   And I know from medical people who work  
6 in pediatrics make a difference between a neonate and  
7 an infant. And I'm going to actually look at some of  
8 the pharmacokinetic changes. I could put it in the  
9 record so I can add it to this.

10                  But I think the overall answer to that  
11 question was no. You can't use it for the infant.  
12 You might be able to use it for a very small part  
13 right after birth. But is that right? The general  
14 answer is no.

15                  **DR. JAMES MCMANAMAN:** Okay. Is that  
16 clear now?

17                  **DR. CECILIA TAN:** Clear as mud. And I  
18 appreciate you letting that extend a little bit  
19 longer.

20                  **DR. JAMES MCMANAMAN:** So we're  
21 uncertain about the uncertainty. Okay. Next Charge  
22 Question 1c.

23                  **DR. HOLMAN:** Quick question, 1.c.  
24 Children (ages 1<2 years old) Exposure. At this

1 time, the agency had not included a case study for  
2 evaluating the health impacts on children one -- less  
3 than two years old. However, the agency is aware that  
4 this age group often has the highest exposure from  
5 food consumption, as is the case for some food  
6 commodities for chlorpyrifos exposure. Children 1<2  
7 two years old have not yet been included in the case  
8 studies as these ages are temporally removed from  
9 gestational exposure; as such, the relevance of the  
10 cord blood data to predict the outcomes in toddlers is  
11 unclear. Please comment on the strengths and  
12 uncertainties of using the CCCEH cord blood data as a  
13 surrogate for assessing children ages 1<2 years old  
14 exposure to chlorpyrifos.

15 **DR. JAMES MCMANAMAN:** Thank you. This  
16 charge question, Dr. Fisher is the lead discussant.  
17 Dr. Carr, Dr. Hayton, Dr. Popendorf and Dr. Sobrian  
18 are the associates. Dr. Fisher.

19 **DR. JEFFREY FISHER:** Yes. Jeff Fisher.  
20 So this question is an extension of the last question.  
21 And I think most people in the group say no for  
22 similar rationales.

23 I'd like to point out, though, that  
24 pediatric PBPK modeling is a huge active field in

1 drugs. And if you look at what's done across many  
2 drugs now, where they fail, usually is in the neonatal  
3 period, the first 30 days of life or in pre-terms from  
4 predicting dosimetry of drugs.

5 So the point I want to make is that the  
6 ability of the model, the infant model, to predict is  
7 probably better for this age group than the very young  
8 that you categorize from newborn to one year. And so  
9 I'd be more comfortable with model predictions in this  
10 age group, but not in relation to using cord blood.

11 **DR. JAMES MCMANAMAN:** Dr. Carr.

12 **DR. RUSSELL CARR:** I agree. Cord blood  
13 data can't be used in one- to two-year-old children.  
14 The connection from the mother is beyond that and the  
15 child is basically being exposed in different --  
16 through dermal and oral exposure routes.

17 **DR. JAMES MCMANAMAN:** Dr. Hayton.

18 **DR. WILLIAM HAYTON:** Utility of cord  
19 blood data for this age range would derive primarily  
20 as a metric of the chlorpyrifos exposure provided by  
21 the home environment in which the child lives and this  
22 assumes that the home environment exposure over the  
23 period of interest was similar to the exposure around  
24 the time of birth and an assumption that is fraught

1 with uncertainty.

2 The life stage PBPK model could be used  
3 to gauge the blood concentration in the child over one  
4 to two years using a chlorpyrifos dosing scenario that  
5 corresponded to the cord blood concentration. Absent  
6 the connection to the mother, this dosing scenario  
7 would likely lead to a model predicted blood  
8 concentration quite different from the cord blood  
9 concentration.

10 **DR. JAMES MCMANAMAN:** Dr. Popendorf.

11 **DR. WILLIAM POPENDORF:** Well, I will  
12 agree with Dr. Carr, in particular, he also mentioned  
13 earlier and I'll give him credit for pointing out  
14 that, in fact, in the one- to two-year range those who  
15 might have had a high exposure are going to go through  
16 the cancellation period. So that would be another  
17 reason this would be no because we know their  
18 exposures would have changed. Well, we don't know  
19 that. But we can predict that many of their exposures  
20 will have changed.

21 **DR. JAMES MCMANAMAN:** Dr. Sobrian.

22 **DR. SONYA SOBRIAN:** I really agree with  
23 all that's been said. The answer to that is no for  
24 the same -- even for reasons similar to the one before

1 that, except that the infant from one to two is even  
2 further removed and often their dietary intake of  
3 chlorpyrifos might be higher.

4 **DR. JAMES MCMANAMAN:** Other panel  
5 members? Dr. Sweeney.

6 **DR. LISA SWEENEY:** I'm wondering if the  
7 question is not so much for any particular individual  
8 that you have a cord blood measurement for is that  
9 indicative of what sort of exposure they have at an  
10 older age?

11 Perhaps it's -- maybe the question is  
12 really if you have a reference concentration that's  
13 derived based on cord blood data and how do you assess  
14 the postnatal developmental risk of someone else who  
15 happens to be exposed to that exposure level at that  
16 age, irrespective of what their prenatal exposure was?

17 I'm not sure if that's the question  
18 we're supposed to be trying to answer is, okay, what  
19 do we do with these simulations of two-year-olds that  
20 I have a scenario?

21 Is it okay to then say, okay, we had  
22 this cord blood data and we have a risk of an effect  
23 at age seven that we think is important. We say we  
24 don't want -- we want to make sure that one- to two-

1 year-olds are not exposed at that particular level.  
2 Is that the question? Do we think that's the  
3 question? Do we think we're answering that question  
4 with the answers we've provided so far? I'm not sure.

5 **DR. JAMES MCMANAMAN:** The discussants  
6 on that -- anybody want to respond to Dr. Sweeney's  
7 question? Did you think you were answering the  
8 correct question?

9 **DR. WILLIAM HAYTON:** So that was my  
10 answer on 1b, assuming that it was a pharmacodynamic  
11 question and that you're looking at equivalent  
12 exposure giving rise to the blood level and an infant  
13 that corresponds to what happened in utero, at least  
14 at term birth.

15 And so that -- and I've said probably  
16 you cannot do that because the effects during  
17 development in utero are probably different than  
18 postnatal, the period of time if an infant was exposed  
19 and have blood levels similar to the cord blood  
20 levels, because there's very little postnatal data  
21 only, but some, as I understand it. You're uncertain  
22 about the consequences or the adversity. I guess that  
23 was my point on 1.b.

24 **DR. JAMES MCMANAMAN:** This is 1.c.

1 DR. WILLIAM HAYTON: Right.

2 DR. JAMES MCMANAMAN: Yeah.

3 DR. WILLIAM HAYTON: But she's bringing  
4 up the same issue, I think, as I brought up for 1.b.  
5 And I don't know the answer to what we're trying to  
6 answer.

7 DR. ANNA LOWIT: Would some  
8 clarification help?

9 DR. JAMES MCMANAMAN: Sure.

10 DR. ANNA LOWIT: Okay. So I appreciate  
11 Dr. Sweeney trying to put herself in our shoes and  
12 think about it from a risk assessor's perspective. So  
13 I want to thank you for that. And as I indicated  
14 earlier these Questions A, B and C on number one, are  
15 really directly connected to the conversation you all  
16 will have on Question 5.

17 Implicit in Question 5 is this issue of  
18 how the agency will assess hazard, as we think about  
19 calculating risk is that hazard assessed on the  
20 traditional acetylcholinesterase endpoint or is it  
21 assessed with a neurodevelopmental outcome?

22 If it's assessed on  
23 acetylcholinesterase endpoint, we have existing points  
24 of departure, but then we have an uncertainty of how



1 to then link that to the neurodevelopmental and what  
2 is that window -- window is the wrong word -- the gap,  
3 thank you, Dana -- the gap between the  
4 acetylcholinesterase endpoint and the  
5 neurodevelopmental outcomes and how do we ensure that  
6 point of departure is safe?

7 On the other hand, if you go with the  
8 neurodevelopmental outcomes, the only quantitative  
9 metric is derived from the Columbia cord blood as an  
10 internal concentration, internal dose metric linked to  
11 the neurodevelopmental outcomes.

12 So from a risk assessor's point of  
13 view, that's why these questions are so connected  
14 because we have to -- assessing the female is far more  
15 straightforward because the cord blood was derived  
16 from a female who had been pregnant. And there are  
17 females who eat food and work in fields. That's a --  
18 the comparison there is apples-to-apples.

19 As we think about how we would assess  
20 the risk and the hazard to children one day to 30 days  
21 and 30 days to 365 days, how we would make those  
22 connections, either from acetylcholinesterase endpoint  
23 with some sort of additional factors in how we would  
24 then quantify those factors. Or using that internal

1 dose concentration for the neurodevelopmental,  
2 understanding there's a temporal gap and a lack of  
3 understanding on the postnatal on the Columbia. So is  
4 that making sense of how those are connected?

5 **DR. JAMES MCMANAMAN:** Right. So as I  
6 understand it, the ultimate goal here is to help the  
7 agency connect the dots and the gaps between the  
8 exposure levels and outcomes. And I think that what  
9 we have to do as a panel is we have to address the  
10 individual dots and ask -- and look at the reliability  
11 of using these surrogates as indices of exposure.

12 So with that in mind, is anyone's  
13 response to this charge question, does it change?  
14 Because this is -- we have to answer this charge  
15 question and to the best of our ability and about the  
16 uncertainties. And then the aggregate effects will  
17 have to be -- maybe we'll be able to address in Charge  
18 Question 5.

19 **DR. JAMES MCMANAMAN:** Dr. Sobrian.

20 **DR. SONYA SOBRIAN:** I know that comes  
21 back to intent. You know, we were trying to answer  
22 the questions just as individual questions. But when  
23 we were talking about this, we were saying -- we  
24 talked about the use of a single measure as a -- how

1 valid was that, which I think goes -- I think maybe  
2 you've put some of it in here. But it makes it -- I  
3 wouldn't change the answers. But I think it changes  
4 the discussion that I'm going to put in mine. But I  
5 did mention that that we thought one of the cons was  
6 that single-exposure sampling, which occurred just may  
7 not be a good measure. But I'll expand on that.

8 **DR. JAMES MCMANAMAN:** No, I think that  
9 what we should do is, since it is in Charge Question 5  
10 as for the intent of Charge Question 5, here okay,  
11 we'll try to address those questions, those concerns  
12 at that time.

13 **DR. ANNA LOWIT:** Yes. Sure, that's  
14 fine.

15 **DR. JAMES MCMANAMAN:** Okay. So but is  
16 the agency clear on the response to this particular  
17 charge question?

18 **DR. ANNA LOWIT:** Yes, it's clear.

19 **DR. JAMES MCMANAMAN:** All right. So  
20 let's move on to Charge Question 2. And that will  
21 bring us to the end of where we're supposed to be  
22 today. So we can break and go -- pick it up tomorrow.

23 **DR. BETH HOLMAN:** Beth Holman, EPA.  
24 Question 2, Uncertainties with Using Biomarker Data

1 from CCCEH for the Point of Departure of PoD. Section  
2 7.1 describes the key uncertainties in using the cord  
3 blood biomonitoring data from CCCEH as the PoD.

4 While biomarker data are arguably  
5 superior to conventional exposure data in that they  
6 reflect chemicals that were absorbed in the body from  
7 all routes and sources, they do not provide direct  
8 measure of environmental exposure levels.

9 Chlorpyrifos in cord blood represents a  
10 snapshot of the concentration at a particular point in  
11 time. Uncertainty also exists when establishing a  
12 quantitative relationship between chlorpyrifos  
13 concentrations in blood and adverse health outcomes.

14 For neurodevelopmental effects  
15 investigated in these epidemiology studies, the  
16 adverse outcomes pathways, toxic moieties and  
17 biological targets were all unknown. The key  
18 assumption is that measured biomarker levels reflect  
19 exposures during time windows that were critical for  
20 disease onset. It is also not clear whether cord  
21 blood concentrations measured at birth reflect  
22 exposure levels during the critical time windows.

23 However, there is reasonable likelihood  
24 that chlorpyrifos was applied multiple times in the

1 apartments of the women in the cohort over the course  
2 of the pregnancy, potentially one month, increasing  
3 the potential for exposure during these unknown  
4 critical periods.

5 In addition, in the context of the  
6 uncertainties associated with using the Columbia blood  
7 data and quantitative risk assessment, there is a  
8 concern that the point of departure is based on  
9 acetylcholinesterase inhibition, see Appendix 1, may  
10 not be adequately protective of human health. For  
11 example, given an external dose required to achieve 10  
12 percent acetylcholinesterase inhibition for a female  
13 worker who was exposed dermally to chlorpyrifos eight  
14 hours a day, five days a week for three weeks. The  
15 blood concentration of chlorpyrifos peaked at 120,000  
16 pg/g and was still above 100 pg/g at 32 days after the  
17 last exposure.

18 Similarly, at a food exposure level  
19 leading to 10 percent acetylcholinesterase inhibition,  
20 chlorpyrifos concentrations in blood never goes below  
21 100 pg/g over the continuous 21-day exposure  
22 simulation and is around 7,000 pg/g at the daily  
23 peaks.

24 Please comment on the agency's

1 characterization of the uncertainty associated with  
2 using the Columbia blood data in quantitative risk  
3 assessment.

4 **DR. JAMES MCMANAMAN:** The discussants  
5 on this are Drs. Carr, who is the lead discussant, Dr.  
6 Ehrich, Dr. Pessah and Dr. Terry. Dr. Carr.

7 **DR. RUSSELL CARR:** These comments are  
8 the pooled assessment of all of the discussants and  
9 I'll let them add whatever they would like to after  
10 this.

11 As a stated by the EPA, the key  
12 assumption as to the measured biomarker levels reflect  
13 exposures during time windows that are critical to  
14 disease onset. This assumption relies on several  
15 unknowns that are recognized as uncertainties by the  
16 agency. The panel concurs with the agency, but has  
17 significant reservations that the steps taken during  
18 the quantitative risk assessment have clarified these  
19 uncertainties.

20 First, as mentioned in the document,  
21 the magnitude of exposure cannot be determined using  
22 the blood data. A high blood concentration may result  
23 of the low exposure sample within hours of after  
24 termination of exposure. A low blood concentration

1 may be the result of a higher exposure occurring weeks  
2 prior to sampling.

3           The toxicological impact of these two  
4 exposures will be quite different. Assuming that the  
5 blood concentrations were occurring at the asymptote  
6 period when blood levels concentrations were fairly  
7 stable across several days would be an inaccurate  
8 reflection of the magnitude of the different  
9 exposures. In addition, this would inaccurately  
10 reflect the magnitude of response of the exposure  
11 scenarios.

12           Second, no particular window of  
13 exposure within a perinatal period can be identified  
14 as the key period for the effects reported in the  
15 CCCEH study, other human cohorts or in animal studies.  
16 Without knowledge of the sensitive window of exposure  
17 it's difficult to determine the magnitude of exposure  
18 necessary to recapitulate the effects reported in the  
19 CCCEH study. The current risk assessment paradigms  
20 seems to treat delivery as the critical window by  
21 using blood concentrations obtained at that point to  
22 derive a PoD for neurodevelopmental outcomes. This  
23 added an additional level of uncertainty.

24           There's an accumulating body of animal

1 and in vitro evidence to suggest that organophosphates  
2 affect a variety of biological targets in addition to  
3 acetylcholinesterase. A few of these studies,  
4 particularly in vitro experiments, suggest that these  
5 targets may be affected at levels that are below the  
6 threshold for ACHE inhibition.

7 However, to our knowledge, very little  
8 of this evidence would, so far, suggest that blood  
9 levels of chlorpyrifos in the pg/g range would have  
10 significant deleterious neurotoxicological effects in  
11 a million species.

12 Without any evidence in the animal  
13 literature or elsewhere of this mechanism of action,  
14 that could explain how pg/g levels in the blood could  
15 impair IQ and/or Working Memory there does not appear  
16 to be a biological plausibility. This is a  
17 significant uncertainty.

18 Several panel members were concerned  
19 with the lack of dose dependence. For example, where  
20 a range of doses, concentrations are evaluated and no  
21 a dichotomized low-dose, high-dose designation  
22 subjected to a regression analysis against a  
23 behavioral measure, and the absence of a (inaudible)  
24 dependence. For example, a deleterious effect that



1 gets worse as time of exposure increases, instead of  
2 changes based on chlorpyrifos detected in cord blood  
3 at the time of delivery, as compared to later, after  
4 chlorpyrifos is no longer used in the household or  
5 when it is lower and undetectable.

6           There is considerable uncertainty  
7 associated with the CCCEH blood data when there is  
8 uncertainty with the analytical results. Replication  
9 among analysis of individual samples undergoing a same  
10 extraction procedure plus apparent lack of calibration  
11 curves for quantitation of each batch of samples  
12 analyzed decreased confidence in the data at the very  
13 low pg/g or parts per thousand level used to provide  
14 an arbitrary division of subjects in the low and  
15 highly exposed groups.

16           Although it may be deemed acceptable in  
17 certain situations, providing quantitative values when  
18 the concentration of an analyte is less than the  
19 detectable level provides more uncertainty.

20           The reliance on a single cord blood  
21 measurement from only one study, i.e., the Columbia  
22 study, as the primary basis for a highly impactful  
23 regulatory decision appears to go against standard  
24 practices of science in the field of toxicology and

1 pharmacology.

2           The idea that the responses observed,  
3 for example, the neurological effects, would be  
4 detrimental primarily by the blood level of  
5 chlorpyrifos at the time of delivery is not logically  
6 supportable.

7           Peak or time weighted averaged  
8 concentrations during pregnancy or a portion thereof  
9 are more logically supported metrics. Such metrics  
10 could, in theory, be back calculated from the blood  
11 biomonitoring data using a valid BBB model if one has  
12 data on or can confidently make assumptions about  
13 aspects of exposure patterns labor delivery, blood  
14 collections and other cofounding variables.

15           If such computations cannot be made  
16 with confidence, then core blood data should not serve  
17 as a basis for quantitative human health risk  
18 assessment.

19           **DR. JAMES MCMANAMAN:** Thank you, Dr.  
20 Carr. Dr. Ehrich.

21           **DR. MARION EHRICH:** Okay. Some of my  
22 comments were incorporated in those of Dr. Carr. I  
23 would like to correct one thing he said, it's parts  
24 per trillion, the concentration level. But there's --

1 for using this cord blood, it's really necessary that  
2 such a precise and sensitive analysis be reproduced  
3 and quantitated in multiple laboratories with data  
4 available for scrutiny and that is not available here.

5 Now Dr. Barr has been gracious enough  
6 to send a second piece of information. And I've been  
7 asked to kind of read that in, so it's on the record.

8 And she says, that the -- I asked about  
9 the level of quantitation. She said, It's technically  
10 defined as three times the level of detection, usually  
11 calculated as three times the level, the LOD, which is  
12 the level of detection.

13 She said, "there can be errors in  
14 measurement. She said this visualization of the peak  
15 must be consistent at the LOQ, which is a quantitation  
16 such that the peak is identified 100 percent of the  
17 time and -- as was the case in the LOD that is  
18 reported in the paper.

19 At my laboratory at Emory, I report  
20 that LOD and LOQ both to avoid confusion. But that's  
21 not in that paper. So we still don't really know what  
22 she has there.

23 Now I asked about a calibration curve.  
24 She notes that most analytical journalists do not

1 include the calibration curve. And that is, indeed,  
2 true. She said, more importantly, a report the error  
3 about the slope which gives an indication of goodness  
4 of fit of data to the slope. This is a single most  
5 important calibration value.

6 The arrow for the slope of chlorpyrifos  
7 was .5 percent. And error less than 3 percent is  
8 going to set an excellent agreement of the data with  
9 the calibration line.

10 The question about using this one paper  
11 with lack of much analytical data, a multiple  
12 subsequent data. She says, most epidemiological  
13 studies relegate the analytic chemistry to a single  
14 paragraph or two and a paper without proper quality  
15 control or validation data.

16 We're happy we provided more extensive  
17 data in that paper. So it could be reviewed and  
18 evaluated by others, which is probably why I had so  
19 many questions, because I didn't think they would --  
20 but she did provide it and that brought out more  
21 questions than maybe some people would have thought  
22 should have been brought up.

23 Okay, we also note the paper was peer  
24 reviewed and accepted by analytical chemists familiar

1 with this type of methodology. That still doesn't  
2 give us some of the information.

3 Now what were the recoveries? Were the  
4 recoveries always as low as 18 percent? That was  
5 recorded in that Barr paper. She said, "The  
6 extraction recovery was quite variable, which is  
7 common in the case with complex matrices, such as  
8 serum" and this is definitely a true statement.

9 The use of internal standards fully  
10 corrected for the recovery. This value was within the  
11 FDA guidelines at 80-120 percent. So I'm not quite  
12 sure why she reported 18 percent in that paper.

13 She says, it's important not to confuse  
14 extraction recovery with relative recovery. Farmers  
15 provided for chemists, only if they need to implement  
16 the method without such labeled standards. The latter  
17 is a value important for quantification.

18 Then how are samples quantified when  
19 only the LOD was provided and no calibration was  
20 given? And the second question I had was, were they  
21 further concentrated when she talked about when there  
22 were numbers used below the LOD, she said, no. When  
23 the calculated value was zero or below the LOD. So  
24 she calculated that value as zero. It was reported

1 merely as less than the level of detection. Values of  
2 greater than the level of detection were provided as a  
3 numerical value.

4 Please note that I very much disagree  
5 with the approach of using the LOD as a cut point for  
6 epidemiology studies. The LOD has no clinical  
7 significance and, thus, detect not detect should not  
8 be used to categorize data.

9 This opinion has developed strongly  
10 over my 30 years in the field. So this sort of  
11 summarizes the data that -- the information that she  
12 provided to us.

13 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
14 Erich. Dr. Pessah.

15 **DR. ISAAC PESSAH:** I think all of my  
16 conclusions have been summarized by Dr. Carr and the  
17 concerns just read remain concerns for me regarding  
18 the large number of samples that were imputed as non-  
19 detect.

20 **DR. JAMES MCMANAMAN:** Dr. Terry.

21 **DR. TERRY:** Pretty much have the same  
22 sentiment as Dr. Carr covered pretty much all of my  
23 comments in his initial.

24 **DR. JAMES MCMANAMAN:** Other panel

1 members. Yes, Dr. Sweeney.

2 **DR. LISA SWEENEY:** I also contributed  
3 to the summary statement Dr. Carr gave and I'd -- so  
4 I'd like to indicate that I do concur.

5 **DR. JAMES MCMANAMAN:** Dr. Popendorf.

6 **DR. WILLIAM POPENDORF:** Yeah. I did --  
7 I want to clarify one thing, maybe add another. But  
8 today I recall, Dr. Carr, you said in your statement  
9 that calibrations weren't run or weren't always run.  
10 And in the letter that Dr. Carr sent back says a  
11 calibration was generated on every single run. So is  
12 that going to be changed or am I --

13 **DR. JAMES MCMANAMAN:** That comment was  
14 generated by Dr. Ehrich.

15 **DR. WILLIAM POPENDORF:** Okay.

16 **DR. MARION EHRICH:** We didn't have that  
17 information at the time. But I just submitted my  
18 comments to Dr. Carr.

19 **DR. JAMES MCMANAMAN:** So she -- he  
20 asked will it be changed?

21 **DR. MARION EHRICH:** Yes.

22 **DR. WILLIAM POPENDORF:** Okay.

23 **DR. MARION EHRICH:** But we're basing --  
24 what you have to remember, this is Dr. Erich, you have

1 to remember that we're basing things on what she said  
2 after the questions were raised.

3 **DR. JAMES MCMANAMAN:** Right. Before --  
4 yeah. And you wrote that before you got this.

5 **DR. MARION EHRICH:** Only thing we have  
6 hard copy is the hard copy of the printout of her  
7 email. It's not in any of the publications.

8 **DR. JAMES MCMANAMAN:** Yes. Okay. The  
9 other question -- the other point, I guess, is kind of  
10 a question, because she also said about -- talking  
11 about spike recoveries and what she calls a relative  
12 recovery, which was 96 percent. But I guess I haven't  
13 seen any data about -- did she report relative  
14 recoveries, because if relative recoveries were 96  
15 percent, then the question is, you know, how variable  
16 were they? And she also says it was between 80 and  
17 120. Does that mean we've got to -- was it variable  
18 within that range? Do we -- so, I guess, do we have  
19 any of that information?

20 **DR. MARION EHRICH:** She does say in  
21 this email, she said, "The extraction recovery was  
22 quite variable. So she said her internal standards  
23 fully corrected for the recovery, yet, she reported in  
24 that paper 18 percent. Here she's telling us that it



1 was about 96 percent well within the FDA guidelines in  
2 the 80 to 120 percent. So there's some discrepancy  
3 between what's in the paper and what she says now.

4 **DR. WILLIAM POPENDORF:** Well, I don't  
5 read it quite that way. I mean, because she writes,  
6 "The use of isotopically labeled internal standards  
7 fully corrected for recovery. And in fact, the term  
8 "extraction recovery" is meaningless and spike  
9 recoveries are our ability to actually quantify the  
10 value was 96 percent and talks about relative  
11 recoveries as being the important term. So I think  
12 her internal spike, she got back pretty -- well,  
13 somewhat consistently. All she says was the 96  
14 percent and she used that to adjust the chlorpyrifos.  
15 So I think she did a pretty -- you know, I think that  
16 method adjusted pretty well, but we don't know how  
17 variable that 96 percent is.

18 **DR. MARION EHRICH:** But yet, she  
19 reports in the table, Table 4, that R2000, she has a  
20 line that gives you what the recovery rates are. I  
21 think it's on Table 4. One of these tables, anyway.  
22 That's what she does say. So -- and also that could  
23 have been a recovery rate for something other than  
24 chlorpyrifos because they did a whole series of

1 agents. So I think there's a lot of unknown in that  
2 paper, even though she tried.

3 **DR. WILLIAM POPENDORF:** Okay. I think  
4 it's not uncommon, in my experience, working with  
5 this, when you use an internal standard in some form -  
6 - and apparently this is an isotope -- that you make  
7 the recovery. And as long as you're -- an adjustment  
8 for low recovery, as long as that is consistent, you  
9 can recover.

10 Chlorpyrifos you only get back 18  
11 percent. But you know by putting in a standard, goes  
12 through the same process, you can make an adjustment.  
13 It's a fivefold correction. And that's used fairly  
14 commonly for these kinds of low values. But again,  
15 the question is that 96 percent, is that 96 percent,  
16 plus and minus a percent or is it 96 percent plus and  
17 minus 20 percent, staying within the FDA guidelines.  
18 That's the part that we don't know.

19 I mean, I think what she's saying is  
20 she makes an adjustment for that, whatever it was, 19  
21 percent or 18 percent number. So she can adjust for  
22 that using this method. But then, like you say, it's  
23 probably within the 20-percent range, maybe even  
24 better than that. We don't know.

1                   **DR. ANNA LOWIT:** Actually, it's in the  
2 table. I have the Barr 2002 paper open. So the  
3 comments that -- this is Anna Lowit. Popendorf are  
4 saying, are accurate. So the 18 percent is derived  
5 from -- hold on -- Table 3 in the 2002 paper and the  
6 methods.

7                   The spiking recovery that yields the 96  
8 percent comes from Table 4 in that paper. And that's  
9 where the slope -- there on the slope and the  
10 intercept to the calibration curves occur along with  
11 the co-efficient of variation on the number that  
12 you're talking about. So it's hard to read crooked.  
13 It looks like, if I'm reading correctly, crooked, that  
14 the chlorpyrifos co-efficient and variation is 16, I  
15 think it says -- 16, yeah. I believe so, yes.

16                   **DR. MARION EHRICH:** And actually --  
17 this is Dr. Ehrich -- commenting on Dr. Popendorf that  
18 I wrote on -- I have to sign off on papers in  
19 analytical lab and what you've said is quite true.

20                   Spike was an eternal standard, you  
21 check your recoveries on that and that's how you make  
22 your adjustment. So it's -- she thinks she's really  
23 clear in this 2002 paper, but it's not all that clear.  
24 And I think that it was really helpful that she was

1 willing to provide some extra data with these emails.

2 **DR. JAMES MCMANAMAN:** So -- this is Dr.  
3 McManaman. So that clarifies the issue about  
4 extractability and recoveries. So we're -- so we have  
5 an error of 16 percent.

6 Other questions from the panel or other  
7 comments. So maybe I misunderstood this, but if --  
8 and this is Dr. McManaman again. If there -- if Dr.  
9 Barr included anything that was non-detectable as non-  
10 detectable, yet, it seems to me that the data was used  
11 as if it's non-detectable, it was used one half the  
12 lower detectability limit. There seems to be a  
13 discrepancy there. But maybe I'm just misinterpreting  
14 that. Because if you're using. If it was non-  
15 detectable, then the -- did the paper say that they  
16 took every non-detectable level and called that one  
17 half of that non-detectable level or was it not or  
18 were those data not included in the analysis?

19 **DR. MARION EHRLICH:** This is Dr. Ehrlich.  
20 In the Rauh 2006, I think that they taught -- they  
21 actually in their method, they said they quantitated  
22 their below levels of detection at one half the level  
23 of detection. And I've heard from some of the  
24 epidemiologists that's not uncommon.

1 But she says right here, I very much  
2 disagree with the approach of using the LOD as the  
3 cutoff for epidemiological analyses. The LOD has no  
4 clinical significance and thus detect, not detection,  
5 not be used to categorize data. This opinion has  
6 developed strongly over my 30 years in the field.

7 **DR. JAMES MCMANAMAN:** So based on that,  
8 what does the panel feel about the reliability of this  
9 data if the person who is a primary contributor to  
10 this, they disagree with the use of this approach?

11 **DR. STELLA KOUTROS:** I don't -- this is  
12 Stella Koutros.

13 **DR. JAMES MCMANAMAN:** Okay.

14 **DR. STELLA KOUTROS:** I feel  
15 uncomfortable commenting on her comments, when she's  
16 not here to respond to them. I don't even understand  
17 what exactly she means by she feels uncomfortable not  
18 using the cutoff. She might just mean she doesn't  
19 agree with dichotomizing above and below the limit of  
20 detection. It's unclear from her statement without  
21 her being here to explain to us exactly what she  
22 means. I don't think we can draw any further  
23 conclusions.

24 **DR. JAMES MCMANAMAN:** Okay.

1 DR. MARION EHRICH: But the data was  
2 used in Table 1.

3 DR. JAMES MCMANAMAN: Okay.

4 DR. MARION EHRICH: That's the only  
5 data -- actual data that we have and that's a summary  
6 data. And --

7 DR. JAMES MCMANAMAN: Well, since --  
8 you're absolutely right. So we won't include these  
9 comments that can't -- I mean, we can use them in  
10 terms of assessment of variabilities. But we can't  
11 use them. Dr. Pependorf?

12 DR. WILLIAM POPENDORF: I think I may  
13 be -- contributed clarification, perhaps, the normal  
14 process is that she would report non-detects or the  
15 laboratory would report non-detects. And the EPA, by  
16 policy, puts in the half of the LOD. The lab usually  
17 doesn't do that, in my experience and I -- again, I'm  
18 without her concurrence, we don't know that for a  
19 fact. But it is the EPA policy to use half the LOD.

20 DR. JAMES MCMANAMAN: Okay. Well --

21 DR. SHARON SAGIV: That's Columbia's.  
22 I think you're talking about Columbia.

23 DR. JAMES MCMANAMAN: Well, okay.

24 DR. SHARON SAGIV: Yeah.

1                   **DR. JAMES MCMANAMAN:** Columbia may do  
2                   it, too. But the laboratory -- Barr doesn't do it.  
3                   So --

4                   **DR. SHARON SAGIV:** No. Well, Barr gives  
5                   you and LOD and then she -- if it's below that, she'll  
6                   give you the less than the LOD. She won't give you  
7                   the number.

8                   **DR. JAMES MCMANAMAN:** Right. Okay.

9                   **DR. SHARON SAGIV:** Right. But I think  
10                  what she's saying, Dr. Ehrich said, this is Dr. Sagiv  
11                  from U.C. Berkeley, is that she doesn't agree with  
12                  dichotomizing at the non-detect. So in other words,  
13                  using the non-detect as the cutoff, everybody below  
14                  the non-detect is unexposed and everybody above that  
15                  has a detectable level is exposed. I think that's  
16                  what --

17                  **DR. STELLA KOUTROS:** I don't think we  
18                  know what she means.

19                  **DR. SHARON SAGIV:** No, I think.

20                  **DR. STELLA KOUTROS:** That's what I'm  
21                  saying.

22                  **DR. JAMES MCMANAMAN:** Well, so --

23                  **DR. STELLA KOUTROS:** We can't draw the  
24                  conclusions.

1                   **DR. SHARON SAGIV:** It was clear to me  
2 from that that that that's she was saying.

3                   **DR. JAMES MCMANAMAN:** Yeah. So --  
4 right, we kind of tried to get a clarification. But  
5 in a way, we've got ourselves into a bit of quagmire.  
6 So I think what we'll do is we'll say we cannot accept  
7 this. We can accept her explanations for how the  
8 procedures were done. But her interpretations of what  
9 was going on, opinions, we can't include those into  
10 our --

11                   **DR. SHARON SAGIV:** I don't think it --  
12 Columbia didn't do that. So I don't think it matters.

13                   **DR. JAMES MCMANAMAN:** Okay. All right.

14                   **DR. DIANE ROHLMAN:** Just to emphasize -  
15 - this is Diane Rohlman -- is that Columbia didn't use  
16 the level of detection as the cutoff. So that's -- we  
17 need to just be clear.

18                   **DR. JAMES MCMANAMAN:** Okay. Red  
19 herring. All right. Other -- other comments? All  
20 right. So back to the agency.

21                   **DR. ANNA LOWIT:** So I heard a consensus  
22 response among the respondents. So to the extent that  
23 there is a clarity because there was a consensus  
24 response written. So I think the answer you're



1 looking for is yes. But just like the issues in  
2 Question 1, I think some of this comes back again as  
3 we think about the latter questions on -- that we  
4 have.

5 **DR. JAMES MCMANAMAN:** Okay. So I think  
6 the -- just to clarify, I think what I heard was that  
7 there was a lot of uncertainty about that and that  
8 there was -- is that fair that we didn't -- the panel  
9 didn't feel like that that was completely reliable?  
10 Okay.

11 **DR. SHARON SAGIV:** But remember, the  
12 question we're asking is about our characterization.  
13 So I would hope that your response --

14 **DR. JAMES MCMANAMAN:** Okay.

15 **DR. SHARON SAGIV:** -- comments on the  
16 extent that we have actually accurately captured the  
17 uncertainty and where maybe we had missed things.

18 **DR. JAMES MCMANAMAN:** Stand corrected.

19 **DR. SHARON SAGIV:** In my first -- in  
20 the first paragraph, I said the panel concurs with the  
21 agency, but had significant reservations to the steps  
22 during the quantitative risk assessment taken have  
23 clarified these uncertainties.

24 **DR. JAMES MCMANAMAN:** Good enough? All

1 right. Okay. It's 10 to 6:00. It's time to get some  
2 dinner and refreshments. So we'll meet back here at 9  
3 a.m. for the remaining charge questions.

4 (Whereupon, at 5:50 p.m., the meeting was adjourned)

5 \* \* \* \* \*

**DAY 3 - APRIL 21, 2016**

1                   **DR. JAMES MCMANAMAN:** Welcome back to  
2 the session on chlorpyrifos. What I'll do is, just  
3 for the record again, I'll ask all the panel members  
4 to introduce themselves. We can be very brief. I'm  
5 Jim McManaman. I'm from the University of Colorado.

6                   **DR. DAVID JETT:** Dave Jett, National  
7 Institutes of Health.

8                   **DR. MARION EHRICH:** Marion Ehrich,  
9 Virginia Tech.

10                  **DR. ALVIN TERRY:** Alvin Terry, Augusta  
11 University.

12                  **DR. LISA SWEENEY:** Lisa Sweeney, Henry  
13 M. Jackson Foundation.

14                  **DR. SHARON SAGIV:** Sharon Savig, UC  
15 Berkeley.

16                  **DR. DIANE ROHLMAN:** Dr. Diane Rohlman,  
17 University of Iowa.

18                  **DR. WILLIAM POPENDORF:** Will Popendorf,  
19 Emeritus, Utah State University.

20                  **DR. ISAAC PESSAH:** Isaac Pessah, UC,  
21 Davis.

22                  **DR. STELLA KOUTROS:** Stella Koutros,  
23 National Cancer Institute, National Institutes of

1 Health.

2 DR. WILLIAM HAYTON: William Hayton,  
3 Ohio State University.

4 DR. WILLIAM FUNK: Bill Funk,  
5 Northwestern University.

6 DR. JEFFREY FISHER: Jeff Fisher FDA.

7 DR. RUSSELL CARR: Russell Carr,  
8 Mississippi State University.

9 DR. JAMES MCMANAMAN: Okay. So --

10 DR. PANOS GEORGOPOULOS: Panos  
11 Georgopoulos, Rutgers University.

12 DR. JAMES MCMANAMAN: Thank you, Dr.  
13 Georgopoulos. Before we go on to the next charge  
14 question, a couple of issues. There was some  
15 uncertainty amongst the panel about the ultimate, the  
16 risk assessment goals of this session that the EPA  
17 would like to have us address. So the EPA is going to  
18 answer those questions, answer those queries with a  
19 couple of quick slides to help us understand and  
20 clarify the risk assessment questions that they have.

21 The other is, I want to remind the  
22 panel that this is a deliberation amongst ourselves.  
23 We're addressing the EPA questions, but our  
24 deliberations are between this panel. And that all

1 panel members, including those who aren't really  
2 assigned to a particular charge question, should feel  
3 free to comment about this. And we encourage you to  
4 do so at every level. Okay. So with that, I'll send  
5 it back to Dr. Lowit.

6 **DR. ANNA LOWIT:** So this is Anna Lowit.  
7 Thank you, Dr. McManaman. So we did hear some lack of  
8 clarity amongst the panel on some of the issues. So  
9 we've brought back a few of the slides from Tuesday to  
10 reorient and maybe be a little bit more explicit than  
11 we were on Tuesday. So just to start at maybe the  
12 10,000-foot level, as we think about the health  
13 effects of chlorpyrifos, remember we've been at this  
14 for almost 10 years now, and you're the third panel to  
15 look at these issues.

16 And two panels before you, in 2008 and  
17 2012, both found the epidemiology studies to be of  
18 high quality and to be of utility in the hazard  
19 assessment for chlorpyrifos. And given the strengths  
20 of those recommendations and actually the compliments  
21 that we received from the 2012 paper on our analysis  
22 on EPI, we largely did not bring those issues back  
23 even in the issue paper or in the charge questions.  
24 So one of our starting points in this issue paper is

1 that the Columbia data is very strong. It's not  
2 perfect. There are uncertainties, but it is a very  
3 strong study.

4 On the other side of that is the  
5 acetylcholinesterase inhibition pathway, the  
6 traditional pathway leading to cholinergic toxicity,  
7 which has for a very long time been the source of  
8 point of departure in the red blood cell 10 percent  
9 cholinesterase inhibition. Let's remember, there's  
10 also uncertainties there. These are data derived from  
11 animals, largely in studies of animals with 5 to 10  
12 animals per group at largely high doses.

13 So on one side, we have uncertainties  
14 associated with epidemiology, and on the other side,  
15 we have uncertainties associated with extrapolating  
16 high dose animal studies with small sample size to the  
17 diverse human population. Neither side of this flow  
18 chart is a perfect answer, but those are the two sets  
19 of information that we have in front of us to  
20 determine a safe level of chlorpyrifos as we move  
21 forward with the regulatory action on this chemical.

22 As I'll show you in a minute in a more  
23 explicit way that we didn't show on Tuesday, the  
24 internal blood concentrations for the 10 percent

1 cholinesterase inhibition are actually almost two  
2 orders of magnitude higher than the levels found in  
3 the perimeter carpet, in the perimeter treatments that  
4 the agency has already decided is unsafe. They're  
5 just the wrong direction. So on the left side, the  
6 point of departure with the traditional hundred-fold  
7 safety factor per the 2014 risk assessment is actually  
8 not health protective of infants and children.

9           And so in order to move forward with  
10 that end point, we would need to make some adjustments  
11 using additional uncertainty factors to ensure that  
12 end point was safe for all of Americans at every life  
13 stage. So in the event that this panel determines  
14 that there's too much uncertainty in the cord blood,  
15 we will, in Question 5, need your help to figure out  
16 how to assess the science that would underline those  
17 extra factors. On the right side is the other  
18 approach, the one that we're proposing in our issue  
19 paper, which is to use the cord blood data directly  
20 with all of its uncertainties.

21           It's not perfect, but we're confident  
22 that it would be protective of the neurodevelopmental  
23 effects. So let's sort of explain how the numbers are  
24 used. So the point of departure, whether it's

1 cholinesterase inhibition or neurodevelopmental, is  
2 divided by uncertainty factors. And in the 2014  
3 assessment, for women of childbearing age, we have a  
4 value of 100, and we're continuing to propose a value  
5 of 100 today. That can be used in any way, whether  
6 it's the point of departure divided by the factors or  
7 using the factors as a target.

8 Either way, we're looking for the  
9 current exposures to be 100 times lower than the point  
10 of departure. That is entirely consistent with EPA  
11 policy for many, many years across decades. We want  
12 environmental exposures to be well below the points  
13 where we see adverse effects on the population. So in  
14 the case here, we're looking for our current exposures  
15 to be about 100 times lower than the point of  
16 departure. So you've seen this many times now. This  
17 is our PBPK output from the perimeter application to  
18 the carpet.

19 Keep in mind that this use was  
20 cancelled in 2000 because it's not safe, right. The  
21 agency found it to be unsafe and that's why it was  
22 cancelled. And in fact the Columbia study affirmed  
23 that determination by the agency in what happened to  
24 be a serendipitous dose response with the children



1 born before the cancellation and after. Where the  
2 kids born before the cancellation have higher levels  
3 in their cord blood and also see effects in mental  
4 delay, psychomotor delay, attention, ADHD, and Working  
5 Memory.

6 So the agency's determination in 2000  
7 that the perimeter applications and the indoor uses  
8 not being safe turned out to be the correct action.  
9 Okay. So what's on this slide? What I've done here  
10 is overlay this exact same information from this plot.  
11 The blue on this plot is the same blue on the next  
12 plots. So on the left side -- on both graphs the  
13 orange is the PBPK output of internal dose to predict  
14 10 percent cholinesterase inhibition from dermal  
15 exposure to a worker. And the blue is the PBPK output  
16 from that perimeter use that the agency cancelled  
17 because it wasn't safe.

18 And as you'll see, on the left side is  
19 a linear scale, and the blue is so small that you  
20 can't see it because it's little blips on the bottom.  
21 The right side we put on a log scale so you can  
22 actually see the blue. The blue is actually lower  
23 than the orange, which is actually the wrong  
24 direction. And in fact whether it's the peak value

1 that you look at or the lowest point on those graphs,  
2 the internal concentration from the dermal worker  
3 point of departure is about 100 times higher than the  
4 internal dose from the indoor use. Again, this is the  
5 wrong direction.

6 So we're proposing to use the  
7 neurodevelopmental effects from the benchmark dose  
8 derived from the Rauh study using a two percent change  
9 in Working Memory. That sort of is at a balance  
10 between, at the one percent the values would be near  
11 the LOD, and above that in the three to five percent  
12 range we're starting to hover around the point of that  
13 top tertile where those effects in the children were  
14 observed. So here's the exact same graph again with  
15 our proposed point of departure on it.

16 So you see the orange which is the 2014  
17 dermal point of departure, the blue which is the  
18 perimeter which we've now established is not safe, and  
19 then the green below it which is our current proposal.  
20 So our current proposal would actually provide health  
21 protected point of departure as it is fairly far below  
22 where we see the blue. Whether it's the top of the  
23 blue or the bottom of the blue, the current proposed  
24 PoD is where we want it to be. Okay. So let's

1 translate that into a risk assessment.

2 So this graph you also saw on this  
3 chart, it's from Tuesday, this is evaluation of  
4 today's exposures in females who eat conventional  
5 food. So one of the things that in our program we  
6 have spent many years working on, we have very, very  
7 robust exposure assessment approaches. Our dermal  
8 assessments are probabilistic; they're based on real  
9 monitoring data near the point of consumption. So  
10 these values are very meaningful, they're almost very  
11 close predictions of what real people get here in the  
12 United States.

13 Okay. So the food exposure across the  
14 distribution. Exactly what you saw the other day. So  
15 I've got the same two points on the distribution, the  
16 10 and the 99.9. But what I want to highlight your  
17 eyes to is that the point of departure we're proposing  
18 is 2.16, so divide that by 100. Although we fully  
19 acknowledge that you couldn't measure that number,  
20 what's measurable and what's safe are two different  
21 things. We need to keep those concepts separate. So  
22 if you move your eyes to the right side, even at 24  
23 hours post-exposure at .021 we're essentially equal to  
24 the RFD.

1                   And if you look downward at larger  
2 percentiles we actually begin to exceed the RFD. So  
3 that plays out on these graphs I have. This is the  
4 exact same graph from the other day. All we did was  
5 add the green, which is the proposed point of  
6 departure and the RFD at .022. And you can see at the  
7 99.9, to an adult female here in the United States,  
8 the exposure across the entire 24-hour period is  
9 either bigger than the point of departure or also  
10 greater than the RFD across the entire 24-hour period.  
11 So remember, we're using her, the female, as a  
12 surrogate for her fetus.

13                   So if this were a pregnant woman her  
14 internal dose would exceed the level all 24-hours of  
15 that exposure for the RFD. Same graph, different  
16 percentile. This is the tenth percentile, you don't  
17 see the orange PoD on this graph because it's above  
18 the top. But you can see even at 24-hours post-  
19 exposure the internal concentration in a female of a  
20 child bearing age is essentially equivalent to the RFD  
21 and is above that for most of the day. Okay. So we  
22 have a worker scenario. This is the exact same worker  
23 scenario we showed.

24                   So this is an individual, a female who

1 would be handling chlorpyrifos to treat crops like  
2 broccoli and kale for example. The last date of the  
3 exposure. The only difference on this slide is that  
4 we've had to change the scale on the right side for  
5 the last day to a log scale. So that you can see that  
6 the entire distribution of the exposure profile is  
7 substantially higher than the point of departure and  
8 orders of magnitude higher than the internal RFD.  
9 Same series of plots for the water.

10 This is an adult female. Keep in mind  
11 she's a surrogate for her fetus. On the left side we  
12 have modeled values from a relatively low use pattern,  
13 bulb onions in Georgia. You can see for the entire  
14 120-day modeled they were above the RFD and for many  
15 of the days we actually exceed the point of departure.  
16 On the right side is measured values and we sort of,  
17 you see the same pattern. The infant -- so this is a  
18 newborn so day one would be birth all the way out to  
19 day 120.

20 Put a box there to represent that  
21 neonate, the one to 30 day neonate. And you can see  
22 that if we coincide the peak of the predicted water  
23 concentrations compared to against the internal dose  
24 of the infant drinking a bottled formula, that the

1 internal dose of the infant is orders of magnitude  
2 higher than the point of departure and the RFD. The  
3 right side we see the same kind of pattern with the  
4 measure of concentrations for symbacrete (phonetic).  
5 Okay. So back to our problem. We have a challenge  
6 and all of you are seeing the struggle with the  
7 challenge.

8           There's two lines of information,  
9 there's acetylcholinesterase data which is the  
10 historical point of departure, RBC cholinesterase  
11 inhibition. The 2014 risk assessment, as we have  
12 shown in the slides and we have stated in the paper,  
13 is not protective of human health effects. As I walk  
14 through the points about, we cancelled the indoor  
15 uses, we see that play out in the Columbia -- the  
16 before and the after, the agency's decision to remove  
17 those uses for being unsafe plays out in that study  
18 and we see that.

19           The current point of departure is  
20 actually higher than that by a lot. So we would need  
21 your help to figure out how to adjust that to ensure  
22 that we're protective of all life stages across the  
23 United States. The alternative is to move to the cord  
24 blood, just a different set of uncertainties, but we

1 believe that would be protective. And that should be  
2 the last one. Yeah.

3 **DR. JAMES MCMANAMAN:** Thank you. So  
4 this was to help clarify for Charge Question 5, I  
5 believe. And if there are any specific questions that  
6 the panel has in deliberating this clarification from  
7 the agency we should probably discuss those now or we  
8 can wait until Charge Question 5. I mean because  
9 that's where we're really going on. But if there's  
10 any burning questions or issues that you have related  
11 to this we can go now. Yes?

12 **DR. STELLA KOUTROS:** I understand that  
13 a lot of what we just heard is relevant to Question 5  
14 but I also feel like some of the discussions that we  
15 had late in the day yesterday are related to making  
16 some of these decisions or interpreting the data. And  
17 I personally feel that I don't understand some of the  
18 discussions we had yesterday about the first two  
19 charge questions. And I was perhaps suffering from  
20 some late afternoon fatigue and I have some additional  
21 thoughts about those questions and I don't know if  
22 others do as well.

23 But at some point I would like to enter  
24 into the record, which are relevant to what we just

1 heard as well. And I wanted to know if others felt  
2 the same way about our deliberations, quickly at the  
3 end of --

4 **DR. JAMES MCMANAMAN:** Feel free to --  
5 now's the time if you have --

6 **DR. STELLA KOUTROS:** So I think most  
7 notably I wanted to give my interpretation of Question  
8 1 and Question 1.a., which is that regarding the  
9 agency's proposal to use female blood levels as a  
10 surrogate for fetal exposure, my understanding from  
11 yesterday's discussion was at first that the  
12 discussion said that yes it could be but then no it  
13 couldn't be. 5And just amongst ourselves just this  
14 morning I'm not sure if anyone said -- if there was a  
15 final decision or some kind of consensus. And I don't  
16 understand the interpretation of why the data that are  
17 presented don't support the agency's proposal.

18 So specifically, in Wyatt, et. al.,  
19 2003, they showed that chlorpyrifos in maternal and in  
20 umbilical cord blood has a Spearman rank correlation  
21 of .76 in 180 mother/child pairs. Then in 2009,  
22 *Wyatt, et. al.*, showed the levels of chlorpyrifos in  
23 maternal and umbilical cord plasma were highly  
24 correlated, .9 in 64 mother/child pairs. These high



1 levels of correlation suggest that tracking the blood  
2 concentrations of the mothers is a reasonable  
3 surrogate for the fetus.

4 And if there is a question about the  
5 Spearman rank correlation coefficient amongst the  
6 panel members, I suggest that those with expertise in  
7 expidemiologic methods and biostatistics offer those  
8 opinions.

9 **DR. JAMES MCMANAMAN:** Anyone want to  
10 respond to Dr. Koutros' question?

11 **DR. SHARON SAGIV:** This is Sharon Sagiv  
12 from UC Berkeley. I don't have anything to add except  
13 that I want to support that opinion. I believe that  
14 those Spearman correlation coefficients do seem to  
15 suggest that they're correlated pretty highly. I  
16 think there was question of getting raw data at some  
17 point but I think the quality of the investigators in  
18 the Columbia study give me reassurance that these are  
19 probably accurate. So getting the raw data would just  
20 delay things. I'm not so sure I see the point of  
21 that.

22 **DR. JAMES MCMANAMAN:** Dr. Sweeney?

23 **DR. LISA SWEENEY:** *The Wyatt, et. al.,*  
24 correlations lacked transparency in that we couldn't

1 see the data, we couldn't see how non-detects were  
2 treated. So if you have two non-detects and you  
3 assign both of them the value of half the LOD they're  
4 going to be perfectly correlated even though you have  
5 no absolute numbers. So not being able to exclude the  
6 possibility that they used half the LOD in place of a  
7 value, it's a little harder to be confident based on  
8 the reporting in the Wyatt papers.

9 I take more comfort that maternal is  
10 representative of fetal from the summary information  
11 in, I guess it's called Figure 1 but it's really a  
12 table, in looking at some of the percentiles and that  
13 those generally match up pretty well. And the  
14 additional analysis done by Dr. Haddis and his  
15 coworkers, which unfortunately we also don't see a  
16 picture of, but where they report what seems to be, to  
17 me, to be a better approach which is to look at  
18 specific pairs, mothers and infants, and take the  
19 ratio and compare the ratios to each other instead of  
20 just sort of generally doing a correlation.

21 I think looking at the ratios is a good  
22 approach and the distribution of the ratios is pretty  
23 tight. So I think that is stronger evidence for  
24 correlation than the Wyatt data.

1 DR. JAMES MCMANAMAN: Thank you. Dr.  
2 Rohlman?

3 DR. DIANE ROHLMAN: Diane Rohlman. So  
4 I think what we're seeing here is converging evidence  
5 coming with the same conclusion, different sources.  
6 Just want to put that on the record.

7 DR. JAMES MCMANAMAN: Dr. Pependorf or  
8 Pessah?

9 DR. ISAAC PESSAH: Yes, I just have a  
10 question and clarification. We are actually making a  
11 recommendation based on one set of data and one set of  
12 analyses. Is that correct or incorrect?

13 DR. ANNA LOWIT: Do you mean one set of  
14 data as being the Columbia cord blood? Is that your -  
15 -

16 DR. ISAAC PESSAH: That was my  
17 question. It's very straightforward.

18 DR. ANNA LOWIT: Yes. But to say it  
19 like that I think ignores several decades if not  
20 hundreds and thousands of chlorpyrifos studies. There  
21 are literally thousands of chlorpyrifos studies on  
22 many things. This is one piece of a very large  
23 puzzle. And we have done a formal weight systematic  
24 review and weight of the evidence. So I think to pull

1 it out as one piece of evidence that is ignoring  
2 everything else is a misrepresentation.

3 **DR. ISAAC PESSAH:** No. I actually want  
4 to include everything else in my mind. So there have  
5 been other studies that have made these measurements  
6 on chlorpyrifos in a similar cohort?

7 **DR. ANNA LOWIT:** No. The Columbia  
8 study is unique in that. The other cohorts look at  
9 either the TCPy in urine which is not the most  
10 specific marker because it's prevalent in the  
11 environment and tends to overestimate exposure, and it  
12 comes from other pesticides. And the other cohorts  
13 tend to look at the dialkylphosphates or what you could  
14 call the DAPs or subsets of the DAPs which are  
15 definitely nonspecific. So with respect to  
16 specificity to chlorpyrifos this is the data set.

17 **DR. JAMES MCMANAMAN:** So I actually  
18 think that we're verging into additional information  
19 coming into us. And I think that we have to -- so Dr.  
20 Pessah's question is a legitimate question. It should  
21 be addressed to the panel and not to the agency. So I  
22 would like other panel members to weigh in on that if  
23 they have an opinion. Yes, Dr. Koutros?

24 **DR. STELLA KOUTROS:** I guess I have a

1 fundamental disagreement with Dr. Pessah's perspective  
2 that it's our duty as we've been charged here today  
3 and that we've agreed to participate in the  
4 consideration that the agency is using one study. I  
5 believe that our charge has been to help them make the  
6 determination about the best way to use this one  
7 study. It has been the subject of several technical  
8 reviews, previous panel reviews, about the utility and  
9 value of using that one study. And that's not what we  
10 are here today to judge.

11 The agency, it appears to me, has  
12 already made the determination to move forward with  
13 this data based on 10 years worth of review. And they  
14 are asking us to help them figure out a good way to do  
15 that.

16 **DR. JAMES MCMANAMAS:** I think that  
17 those are legitimate questions. And I think the  
18 panel's charge responsibility is to evaluate the  
19 science and the legitimacy and the reliability of  
20 these data. How the agency chooses to use that  
21 information is up to the agency, but I think our  
22 charge is purely about the science.

23 **DR. STELLA KOUTROS:** Our evaluation of  
24 the reliability of the data was not our charge, it was

1 the charge of a previous panel. And so I think it  
2 would be repetitive of us and completely devalue the  
3 scientific panels before us who have sussed already  
4 the validity and reliability of this data.

5 **DR. DAVID JETT:** Yes. So this is Dave  
6 Jett, NIH. So this is actually a little bit different  
7 from where my mind was. I was thinking of this as  
8 advice on the uncertainty and how that uncertainty --  
9 I mean uncertainty can be used in two actually very  
10 different ways. One is you know, to sort of help you  
11 guys decide on margin of error in a risk assessment  
12 sense. It could also be used you know, to make the  
13 argument that there's too much uncertainty to make a  
14 regulatory decision.

15 So I wanted to stay away from that and  
16 just report on what we thought -- you know, I identify  
17 and quantitate that magnitude of uncertainty. Is that  
18 -- you want more than that or is that our charge? I'm  
19 just trying to get a sense of our charge.

20 **DR. JAMES MCMANAMAN:** So just a  
21 reminder that our charge -- our deliberations have to  
22 be on materials that were provided to us and on those  
23 materials only, and the information that are related  
24 to those materials that we were able to find from

1 public documents. And our charge is superficially  
2 related to questions such as reliability of the use of  
3 female blood levels as a surrogate for fetal exposure  
4 as Question 1. So we are, indeed, being asked to  
5 comment and to discuss the reliability of that use.  
6 So I think it's totally legitimate that we do so.

7 And we can include, to the best of our  
8 ability, the previous panel's information and the  
9 primary data. And let me remind the panel that we are  
10 not required to reach consensus. We can have  
11 disagreements as panel members and those will be  
12 recorded in the written document. But in order to --  
13 this is a difficult question that we are being asked  
14 to address so I'd not like to cut off any further  
15 discussion about it. But just to reiterate that we  
16 are being asked to evaluate the information that was  
17 given to us or that was publicly available.

18 So with that -- and answer the specific  
19 charge. So with that, Dr. Terry had his hand up and  
20 I'll let him.

21 **DR. ALVIN TERRY:** Yes. I had a  
22 question for Dr. Lowit. As we sort of --

23 **DR. JAMES MCMANAMAN:** No, we can't be  
24 going back to the agency. I has to be along --

1                   **DR. ALVIN TERRY:** Well it was a  
2 clarification of something she said this morning.

3                   **DR. JAMES MCMANAMAN:** Oh, okay, all  
4 right.

5                   **DR. ALVIN TERRY:** Whenever you were  
6 talking about the point of departure and the two pg/g  
7 threshold value plasma level, you said what is  
8 measurable and what is safe may be two different  
9 things. And as a research scientist I have a hard  
10 time getting my head around that one. I mean if you  
11 can't measure it, I mean how do you make any  
12 designation whatsoever of what you're dealing with? I  
13 mean there are millions of things you can't measure.

14                   **DR. JAMES MCMANAMAN:** No, that's not a  
15 clarification.

16                   **DR. ALVIN TERRY:** Well, I would like to  
17 hear that clarified.

18                   **DR. JAMES MCMANAMAN:** Well, that's not  
19 an issue for now. So I think that's the agency's  
20 opinion and it's been stated that's how they're -- you  
21 know, what they want to work on. But we have to deal  
22 with the science in front of us. And if you disagree  
23 with that let's just say that we disagree with that  
24 view.



1                   **DR. ALVIN TERRY:** All right. It'll  
2 come up in the point five.

3                   **DR. JAMES MCMANAMAN:** Okay. Dr.  
4 Popendorf?

5                   **DR. WILLIAM POPENDORF:** Yes. Just to  
6 add to the summary of going back to the differences  
7 between Spearman and the other sources that we  
8 considered. Transparency in Haddis' data was the log-  
9 log correlations run by Perrea and Wyatt in Perrea I  
10 think 2002, I think Wyatt 2004 which were more  
11 quantitative, different tests, parametric, but it  
12 definitely showed some nonlinearities with some large  
13 differences in some portion of the data.

14                   **DR. ANNA LOWIT:** Can I just ask a  
15 question? Are you talking about the correlations  
16 between cord and maternal blood or log-log  
17 correlations?

18                   **DR. WILLIAM POPENDORF:** Yes. That was  
19 kind of going back a little bit on the -- I had my  
20 hand up but didn't quite get in. But yeah, going back  
21 to the cord versus maternal blood, yeah.

22                   **DR. JAMES MCMANAMAN:** Dr. Fisher?

23                   **DR. JEFFREY FISHER:** Yes. Jeff Fisher.  
24 I think we -- the entire group said yes to 1.a. But

1 then it's the devils in the details about what that  
2 relationship is beyond the text and nonlinearity. But  
3 I think we all said yes.

4 **DR. JAMES MCMANAMAN:** I don't know  
5 whether we all said yes or not but that's -- that will  
6 end up in the report, what the views were.

7 **DR. STELLA KOUTROS:** And just to ask  
8 for a point of clarification. Is it necessary for us  
9 to speak our either agreement or disagreement with  
10 each item of agency's proposals out loud? Or can we -  
11 - you know, for the sake of time I don't want to go  
12 back to everything that happened yesterday. Is it  
13 sufficient just from the perspective of process for me  
14 to just provide those in written comments?

15 **DR. JAMES MCMANAMAN:** Well it would be  
16 best if we could provide your individual perspectives  
17 at the time the question was addressed. But you've  
18 raised an issue now that we're happy to consider if  
19 you know, you made a point that you disagree with the  
20 conclusions then that's you know, there's clearly  
21 going to be not consensus on these -- many of these  
22 questions.

23 **DR. STELLA KOUTROS:** Thank you. And I  
24 -- obviously I think we'll have a full day of

1 opportunity to bring up additional issues.

2 **DR. JAMES MCMANAMAN:** So if possible  
3 can we move on to the next charge question -- number  
4 three?

5 **DR. ELIZABETH HOLMAN:** Beth Holman,  
6 EPA. Question 3, Pharmacokinetic or PK Time Course  
7 Considerations for Labor and Delivery, (see Section  
8 5). Figure 2a and 2b on page 17 to 18 provide an  
9 example PK profile for chlorpyrifos for current  
10 exposures to pesticide applicators. Similar figures  
11 for food, water, and residential exposures are shown  
12 throughout the issue paper, (see Section 6 and 9). As  
13 shown in Figure 2a, each PK profile shows a consistent  
14 pattern of a daily, rapid increase in internal dose  
15 during the exposure periods, followed by a rapid  
16 decline after the exposure period ends.

17 The rapid decline of chlorpyrifos after  
18 exposure terminates is expected given how rapidly  
19 chlorpyrifos is initially metabolized. The periods of  
20 rapid increase represent rapid uptake during  
21 activities that lead to chlorpyrifos exposures. While  
22 the periods of rapid decrease are primarily attributed  
23 to distribution from the central compartment  
24 circulation into the peripheral compartments, body

1 tissues lost to metabolism and binding to esterase.  
2 For chlorpyrifos the half-life of this initial phase  
3 is estimated to be approximately four hours.

4           Upon cessation of the exposure, the  
5 terminal half-life, approximately 120 hours,  
6 predominates resulting in asymptotic appearance for  
7 the internal dosimetry. As summarized in Section 5  
8 for deriving the proposed point of departures (see  
9 Section 7). The agency is assuming the Columbia  
10 levels do not represent values within the rapid  
11 increase/decrease space. Instead, the agency is  
12 assuming the reported values for cord blood and  
13 maternal blood are at the low points within the  
14 terminal clearance period and thus unlikely changing  
15 significantly across several days.

16           Although part of labor is spent at home  
17 where exposure is assumed to occur, some portion was  
18 spent in the hospital. Meaning removal from the  
19 apartment caused the exposure to cease. This  
20 assumption is being made because labor and delivery  
21 typically requires multiple hours. Moreover, maternal  
22 blood samples for some mothers were taken up to two  
23 days after delivery. The agency also notes that the  
24 results from the agency's exposure characterization

1 analysis of the Columbia study, (see Section 6),  
2 closely match those from the Columbia study providing  
3 further support for the agency's characterization of  
4 the PK profile.

5 Please comment on the agency's  
6 characterization of the PK profile and the  
7 interpretation of the Columbia biomonitoring data.  
8 Please include in your comments the agency's proposal  
9 to use the 10 and 24-hour post time points on the PK  
10 profiles for assessing risk to chlorpyrifos.

11 **DR. JAMES MCMANAMAN:** Thank you. The  
12 discussants on this are -- Dr. Sweeney is the lead  
13 discussant, Dr. Fisher, Dr. Hayton and Georgopoulos.

14 **DR. LISA SWEENEYZ:** Thank you. I  
15 additionally had input from doctors Koutros and  
16 Pependorf in these comments. And collectively the six  
17 respondents to this question submitted about 10 pages  
18 of written comments on the issues raised in this  
19 charge question. I also had some limited discussions  
20 with others who raised concerns that I have  
21 incorporated into the summary. Therefore, I have  
22 consolidated the comments in such a manner that I hope  
23 will facilitate the communication of key points of  
24 both agreement and disagreement among the group.

1                   After my summary, which is only about a  
2 page and a half instead of 10 pages, I suggest we go  
3 to the assigned discussants to provide additional  
4 detail if they deem fit, such as issues not mentioned  
5 in my summary, issues raised generally by one person.  
6 Then the additional contributors and then open it to  
7 the rest of the panel for comment. We appreciate the  
8 agency's responsiveness to the recommendations of  
9 previous SAPs as demonstrated by their willingness to  
10 embrace the challenge of applying PBPK modeling  
11 techniques to human biomonitoring and epidemiological  
12 data.

13                   These are far from routine tests and  
14 the agency is to be commended on their creativity,  
15 innovation, and the rigor of their efforts.  
16 Furthermore, EPA has been transparent in the  
17 documentation of their assumptions and their efforts,  
18 and displayed openness and candor regarding the  
19 limitations of what could be achieved with the  
20 chlorpyrifos data set. Multiple respondents noted  
21 that PBPK modeling is indeed a valuable tool to  
22 interpret the biomonitoring data in circumstances  
23 where multiple routes of exposure occur. Especially  
24 when it is based on best available information on the

1 inputs.

2 Concern was raised, however, by at  
3 least two individuals about the following points: use  
4 of cord blood at delivery in the CCCEH study as a PoD  
5 rather than time-weighted average during pregnancy at  
6 peak concentration earlier in pregnancy, or blood  
7 concentration at exit from the home residence; the  
8 assertion that cord blood measurements in the CCCEH  
9 study can be characterized as predominantly  
10 corresponding to levels tense 24-hours post-peak.

11 The lack of justification of an absence  
12 of chlorpyrifos exposure between hospital admission  
13 and collection of cord and maternal blood; and the  
14 absence of a sensitivity analysis that would help  
15 characterize the dependence of key model outputs on  
16 particular parameters. And two reviewers commented on  
17 the level of agreement between the agency's exposure  
18 characterization of the CCCEH study and blood  
19 measurements from the study.

20 To address those points in a little bit  
21 more detail, three individuals suggested that PBPK  
22 modeling should be used to convert the CCCEH blood  
23 data test made the higher pre-admission blood  
24 concentrations using the best available information on

1 the exposure scenario. In particular, two discussants  
2 noted the CCCEH study specific information on times  
3 from hospital admission to delivery and maternal blood  
4 collection, as indicated in the Haddis submission --  
5 Haddis (2016), page 50.

6 Two discussants noted that a measure  
7 more indicative of cumulative exposure such as the  
8 area under the curve or a time-weighted average blood  
9 concentration to be considered more relevant to risk  
10 than peak concentration. Respondents were split in  
11 their opinions of the agency's characterization of the  
12 cord blood data as adequately corresponding to 10 to  
13 24-hour terminal clearance phase. Concurring comments  
14 indicated that these panelists found the agency's  
15 considerations in this regard to be careful,  
16 reasonable, or adequate characterizations of the CCCEH  
17 exposure scenarios.

18 Those disagreeing noted that the  
19 initial decline phase of four hours is less than many  
20 labors. Whereas the agency cited papers indicating  
21 wide variations in the duration of active labor, and  
22 average labors of around six hours, with a weighted  
23 mean standard deviation of roughly three-and-a-half  
24 hours. Placing many deliveries in the initial rapid



1 clearance phase with a four hour half-life, rather  
2 than in the terminal half-life phase. Also, the 10  
3 hour post-exposure assumption could potentially lie in  
4 a transition zone between times that are clearly in  
5 the initial post-peak clearance phase versus those in  
6 the terminal phase.

7 A number of panelists have questioned  
8 whether the study participants were truly unexposed to  
9 chlorpyrifos for the period between hospital admission  
10 and blood collection. They would urge the agency to  
11 provide support for this assumption as none was  
12 identified in the current issue paper. The  
13 discussants who suggested the use of sensitivity  
14 analysis requested global and local sensitivity  
15 analyses that would characterize the sensitivity of  
16 the model's outputs. For example, blood chlorpyrifos  
17 at key times to the model parameters and/or exposure  
18 assumptions.

19 These discussants recommend that the  
20 sensitivity analyses be extended to the maternal and  
21 fetal compartments of the Dow pregnancy model into the  
22 life stage model. While it is true that full  
23 evaluation of the modified pregnancy model is lacking,  
24 and such an evaluation would in fact present major

1 challenges, nevertheless it could be used as a  
2 valuable risk informing supplementary tool for  
3 calculating relevant tissue doses for fetal  
4 compartments under the selected scenarios. One  
5 panelist notes that, "The exposure characterization  
6 provided by the agency in Section 6 closely matches  
7 the real human data that the CCCEH study has to  
8 offer."

9 In contrast, another says, "If the  
10 broadcast and perimeter exposures occurred as assumed  
11 by the agency, exposed mothers would have higher blood  
12 concentrations of chlorpyrifos than those reporting in  
13 Figure 1. Thus the agency's scenarios appear to  
14 exceed the upper end of the scenarios encountered by  
15 the CCCEH study participants." As there appears to be  
16 disagreement on the particular topic, and that topic  
17 is addressed more fully in subsequent charge questions  
18 such as Charge Question 4, it might be better to defer  
19 that discussion to that charge question where other  
20 panelists may have weighed in since that aspect was  
21 sort of peripheral to Charge Question 3 and is more  
22 generally the subject of Charge Question 4.

23 So that's my summary of the  
24 consolidated comments. I have an additional

1 individual comment, should I go ahead with that?

2 **DR. JAMES MCMANAMAN:** Go ahead.

3 **DR. LISA SWEENEY:** I would further add  
4 the following: The EPA asked that we comment on, "The  
5 agency's characterization of the PK profile and the  
6 interpretation of the CCCEH biomonitoring data." EPA  
7 does indeed speculate on possible exposure profiles in  
8 corresponding pharmacokinetic profiles for CCCEH  
9 participants. However, when it comes to deriving the  
10 proposed RFD, EPA uses PK and exposure information  
11 only to characterize the time from departing the  
12 residence until birth and maternal blood collection.  
13 I won't speculate on motivations for that choice.

14 I'll state that while I do not consider  
15 myself to be an expert in exposure assessment per se',  
16 it is my opinion that exposure patterns timing and  
17 magnitude for the study participants probably cannot  
18 be known with sufficient certainty to derive measures  
19 of internal dose that reflect chlorpyrifos dosimetry  
20 throughout gestation. Such internal dose metrics, if  
21 they could be reliably calculated, would provide a  
22 substantially more meaningful reflection of the risk  
23 of neurodevelopmental effects than can be captured in  
24 a snapshot blood concentration at delivery.

1 DR. JAMES MCMANAMAN: Thank you. Dr.  
2 Fisher?

3 DR. JEFFREY FISHER: Well, Lisa  
4 captured all of my comments in her summary. Thank  
5 you.

6 DR. JAMES MCMANAMAN: Okay. Dr.  
7 Hayton?

8 DR. WILLIAM HAYTON: Yes. I agree with  
9 -- I mean I provided all my comments. And I think as  
10 I understood it role out from Lisa, I think that's it.

11 DR. LISA SWEENEY: You had additional  
12 comments about blood brain barrier and dermal  
13 absorption. Do you wish to bring those up for the  
14 agency?

15 DR. WILLIAM HAYTON: Yes I did. I did,  
16 I provided those to you but looking back on it the  
17 agency's not seeking comments on the structure of the  
18 model. So I think I'll just leave those as marginal  
19 notes to myself and they probably shouldn't be  
20 incorporated. Thanks.

21 DR. JAMES MCMANAMAN: Dr. Georgopoulos,  
22 can you hear me?

23 DR. PANOS GEORGOPOULOS: Yes. I do  
24 hear you. The summary by Dr. Sweeney covered the most

1 important points. And I would like to go over  
2 precisely the need for sensitivity analysis that I  
3 think will basically corroborate the choices that are  
4 presented in the report. Because we should not expect  
5 some of the outcomes to be very sensitive to the exact  
6 time lapse between admission and blood collection and  
7 so on. I think it also would be very helpful, it  
8 would strengthen the case of the agency, if the data  
9 that are reported in Dale's report -- Dr. Haddis'  
10 submitted comments regarding the distribution of time  
11 periods from admission to child birth to blood  
12 collection.

13 I don't know if the agency was aware of  
14 that information and decided not to use it. But using  
15 even statistical metrics from those I think would be  
16 grounding the outcomes of the conclusions in a more  
17 solid way than it is right now. So I think that would  
18 be important to really consider and to combine this  
19 with a sensitivity analysis. Finally -- and again the  
20 comment about using those pregnancy model as a  
21 supplemental risk informing tool because analysis of  
22 that can address some of the issues of calculating  
23 actual target tissue dose.

24 I mean my -- I understand that the cord

1 blood measurements are not the only ones that are  
2 available. But that's the advantage of having the  
3 PBPK modeling tools, is that we can relate them to  
4 something that is more risk relevant. And though  
5 these numbers cannot be used directly they provide  
6 substantial insight. I mean we can calculate total  
7 variable dose to tissue over time to the fetus and  
8 even the integrated dose, I think, would be very  
9 useful under a different scenario. So again I think  
10 it will strengthen the case for this.

11 The other comment that I hear were  
12 sometimes about semantics. I mean I think some of the  
13 wording could be improved. I mean using words like --  
14 in the charge question saying that the results of the  
15 PK modeling closely match the measurements of the  
16 study is probably an overstatement. I think the  
17 ranges are consistently such that they match each  
18 other because you know, given the uncertainty that we  
19 have in the calculations that were performed to use  
20 words like closely matched, again, it's a matter of  
21 semantics but I think it could be stated in a more  
22 appropriate way.

23 Again, I hear some other comments that  
24 are more in the low (inaudible) but they are not as

1 specific to the question. I agree with the summary  
2 that Dr. Sweeney provided.

3 **DR. JAMES MCMANAMAN:** Thank you. Okay.  
4 This charge question is open to the other panel  
5 members now. Dr. Popendorf?

6 **DR. WILLIAM POPENDORF:** Yes. I did  
7 provide some of the comments that Dr. Sweeney referred  
8 to and I'd like to spend some time talking about that.  
9 Because it actually -- I referred to, yesterday, some  
10 of my concerns with the cord blood data. And it will  
11 certainly have impacts I think on, at least to me on  
12 subsequent questions. And I think these are based on  
13 the PBPK simulations that prior SAPs did not have  
14 available to them. So it really goes back to the  
15 validity of the cord blood data.

16 And I think the basic problem is that I  
17 would disagree with the assumption that the  
18 chlorpyrifos in maternal blood collect -- well, excuse  
19 me, I would not disagree that the chlorpyrifos in  
20 maternal blood samples collected one to two days after  
21 delivery is at a low point and stable and somewhat  
22 reliable. Which is why I answered yes to Question  
23 1.a. However, the same PBPK predications led me to  
24 conclude that the presumption within the issues paper

1 that the cord blood samples are in a steady state is  
2 seriously flawed.

3           While it's true that some of the  
4 samples collected more than 10 hours after admission  
5 to the hospital would be or could be stable, are  
6 likely to be stable, as stated, most are not likely to  
7 be stable. And I'll just, I think it's worthy  
8 elaborating on that. By the way, Fred, if you would  
9 either pull up Figure -- my slides, or we could --  
10 well anyway. You may recall that within the issues  
11 paper the presumption that the cord bloods were at or  
12 near their low point was based on the Neal report that  
13 looked at a review of other articles that comprised  
14 7,000 women or so and found that active labor lasted  
15 an average of six hours.

16           And they actually, to quote, "Up to  
17 13.4 hours at two standard deviations from the mean."  
18 Which is I think probably why the agency said 10 hours  
19 it would be stable. However, they didn't have the  
20 second quote is, "Perhaps finding the best  
21 indicating," this is a quote of Neal's, "Perhaps the  
22 finding best indicating that the duration of normal  
23 active labor varies widely is that the weighted mean  
24 of the standard deviation is three-and-a-half hours."



1 Now those of you who have some background in  
2 statistics, if you've got a mean of six hours with a  
3 standard deviation of three-and-a-half it's probably  
4 not normal, but that's kind of minor. But anyway,  
5 it's very wide.

6 And further, Neal quotes, "The  
7 contemporary practice of most providers aimed to admit  
8 women to the labor unit when cervical dilation is  
9 expected to be more rapid, i.e., the onset of active  
10 phase of labor." Which is really the point of his  
11 whole article. So we've got this pretty well known  
12 wide variation inactive labor times which means times  
13 of admission to delivery. Now the assumption is that  
14 there is no exposure in the hospital. So basically  
15 once they're admitted they're going into this active  
16 phase of -- I think the agency sometimes calls the  
17 active or the rapid initial half-life phase with a  
18 half-life of four hours.

19 Now Haddis does a little more work and  
20 it's a multi-compartmental model. So it's not a  
21 straight half-life of four hours. It starts at maybe  
22 three-and-a-half and gets a little longer, but four  
23 hours is a pretty good number. So if you just think  
24 in terms of four hours, now that every four hours is

1 half of what was there, so after two half-lives it's  
2 25 percent of what was there, that's seven hours in.  
3 We're just about to the average point, we've gone  
4 through two half-lives already. So half the  
5 population's already gone through two half-lives.

6 So you've got some spread there and of  
7 course the other half of the delivering mothers are  
8 going to be spread out. If you include the one half-  
9 life above that it's about 78 percent of the people  
10 though within the 10 hours are still in this half-life  
11 phase where values are changing. You can go to the  
12 next slide too, Fred. So the point of that, when you  
13 look at these sorts of curves -- and this is a generic  
14 curve I found in the issues paper. No numbers on it  
15 which is kind of good.

16 But you can see that you can actually,  
17 if you start looking at this in terms of half-lives  
18 that it depends really on the time of delivery or the  
19 time in the hospital whether you start with a recent  
20 low exposure -- I mean you can --

21 **DR. WILLIAM HAYTON:** Excuse me, this is  
22 William Hayton. Could you tell us what's up there?

23 **DR. WILLIAM POPENDORF:** It's a generic  
24 one.

1                   **DR. WILLIAM HAYTON:** But is it the  
2 mother or the fetus or do we know what the timescale  
3 is?

4                   **DR. WILLIAM POPENDORF:** It's not the  
5 fetus because this is PBPK from Appendix X, it's  
6 definitely the mother.

7                   **DR. WILLIAM HAYTON:** So it's pregnant  
8 versus non-pregnant female?

9                   **DR. WILLIAM POPENDORF:** I think it's a  
10 spiked dose. And I don't mean to look at the numbers  
11 there you know, it's just representative of the curve.  
12 The point is if you look at you know, envision the  
13 person walking, the expectant mother walking in the  
14 door, at some point they're going to have delivery,  
15 the cord will be sampled. There's a whole other  
16 question of from the time of delivery I don't think  
17 they were right there, they probably didn't take the  
18 sample from the cord at that point. So there's some  
19 lag time there. Is the chlorpyrifos metabolized after  
20 delivery, between time delivery, and sample? Unknown.

21                   But if they're walking in the door you  
22 could take any value -- I guess I don't have a  
23 pointer, but pick any sort of Y-value there you know,  
24 whether you want to be high or low. The point is that

1 you can get there in multiple ways. You can either  
2 have a low recent exposure or you could have started  
3 at a high value and come down. The impact of this  
4 variation in delivery times means that you could get  
5 any value of chlorpyrifos based strictly on delivery  
6 times. I mean I've tried to do some calculations, I  
7 certainly didn't do a simulation.

8 You could virtually reproduce the  
9 entire spectrum of cord values in the Rauh (2012)  
10 article. People walking in the door all at the same  
11 level, delivering over a period of plus and minus two  
12 half-lives, eight hours, which is within the range  
13 reported by Neal, and reproduce that graph. So you  
14 can get there by starting at high values, you can get  
15 there starting by low values. Technically you  
16 probably couldn't if you dichotomize the -- I think on  
17 the next slide I actually you know, for what it's  
18 worth that is the Figure 1a from Rauh that we've been  
19 talking about and you know, they're all black dots.

20 If you were to dichotomize that  
21 distribution to those that were exposed or delivering  
22 before 2000, before the cancellation versus those  
23 after, I think Figure 1 in the paper puts most or  
24 virtually all the high values before cancellation,

1 some of the lows. But after cancellation Figure 1  
2 says that those low values would be you know, at one  
3 and non-detects. So you could actually, if you  
4 dichotomize and said okay, those before 2000, before  
5 cancellation, if you gave them all one value I could  
6 reproduce that I think just based on delivery times.

7 So I think to me the potential for the  
8 short delivery times has potentially -- you can create  
9 a small number of relatively high blood values. And  
10 if you look at those plots that correlation's really  
11 driven by a relatively small number of points. But  
12 it's -- you can get there either by you know, again  
13 starting low and delivering early, starting high and  
14 delivering later. You get to the same you know,  
15 you'll have a measurable value. For those that  
16 happened to read Haddis, there is some actual data in  
17 their records somewhere for at least 133 deliveries of  
18 the time between admission and delivery.

19 He was doing some calculation in Table  
20 25. I think he was trying to come up -- well, I don't  
21 know what he was trying to do, he calculated an  
22 average delivery time. But if you look at the data in  
23 that table there's some glitch. I don't know, I think  
24 it had to do with -- well, I won't go into why it may

1 have been. But anyway, those numbers aren't reliable  
2 at all in those times that he reports. I mean the  
3 times are valid but what he puts in the table to  
4 calculate an average delivery time are not valid. If  
5 you see the table I'm sure you'd agree.

6 I don't know, it's just something that  
7 he put in and didn't really look at it. Again, maybe  
8 it's another example of information we don't have.  
9 But when I saw those PBPK values and started thinking  
10 about the implication, that variation in delivery  
11 time, those cord values to me could easily be -- in  
12 fact based on what I just said if you walk in the  
13 door, vary the delivery times, I can reproduce that.  
14 So to me these are artifacts of delivery time much  
15 more than they really represent some recent past  
16 exposure.

17 And because of that transient effect  
18 the stability of those data -- the correlations are  
19 what they are but I don't -- I mean you can put within  
20 a range each of those dots should have like a four X  
21 factor, they're each represented by bars. And maybe  
22 there's some way to do an analysis, like a sensitivity  
23 analysis, but the variability of the chlorpyrifos  
24 values, the x-axis values, is huge.

1                   **DR. DAVID JETT:** Yeah. Since this is  
2 something I was going to raise as well. So it would  
3 be great to know if we had the time they left the  
4 house data. But I know that's going to be just really  
5 hard to get. But shouldn't we be able to get the  
6 admission times -- days and times for this study to  
7 address this?

8                   **DR. WILLIAM POPENDORF:** You mean like  
9 from the hospital?

10                  **DR. DAVID JETT:** From the hospital  
11 records. Oh, and this is Dave Jett, NIH.

12                  **DR. STELLA KOUTROS:** This is Stella  
13 Koutros. I think that it is unlikely that the 2016  
14 FIFRA SAP panel could procure that data.

15                  **DR. DAVID JETT:** Not we but they.

16                  **DR. JAMES MCMANAMAN:** Given that we  
17 don't have access to the hard measurements it's going  
18 to be illogical that we can get that. So Dr. Hayton  
19 had his hand up first.

20                  **DR. WILLIAM HAYTON:** I'd like to inform  
21 the discussion a little bit, I hope I'm informing it.  
22 We have to remember the fetus is a peripheral  
23 compartment, it's still hooked up to the mother. But  
24 I don't think we can assume that the profile for --

1 the simulator from mother's blood is representative of  
2 what's going on on the fetal side. My guess is  
3 they're quite a bit more attenuated. So I don't think  
4 the peaks in the fetus you know, if you could actually  
5 measure or use the model to simulate it, I don't think  
6 the peaks in the fetus would be nearly as high as they  
7 are in the mother is my guess.

8 I think that could be verified through  
9 some modeling but it is a peripheral compartment. The  
10 other thing I'd like to say is that it seems to me the  
11 Figure 1 which shows the cord and mother's data and  
12 the fact that they seem at least at higher  
13 concentrations to ratio out about one or close to one,  
14 in other words they're about the same. And if we say  
15 that the mother's blood is post-distribution, post-  
16 peak in the long half-life phase, then it seems to be  
17 that sort of -- because the concentrations are the  
18 same it sort of suggests that the cords are too.

19 It's either that or you have a much  
20 different pharmacokinetics going on in the fetus than  
21 in the mother.

22 **DR. JAMES MCMANAMAN:** I think Dr.  
23 Pependorf wants to respond.

24 **DR. WILLIAM POPENDORF:** I certainly



1 take your experience on cord and fetus for what it's  
2 you know, I don't have any expertise in that per se.  
3 But not all of the cord and maternal values are equal,  
4 again. So what's driving these is -- we don't you  
5 know, have a full appreciation for. So what you say  
6 has some validity and has had some impact on this  
7 figure. When you said Figure 1 by the way you meant  
8 this Figure 1 or the issue paper Figure 1?

9 **DR. WILLIAM HAYTON:** Yeah. It's really  
10 a table but it's referred to as a figure. The one  
11 that has the numbers that are very hard to read.

12 **DR. WILLIAM POPENDORF:** Dr. Koutros?

13 **DR. STELLA KOUTROS:** It seems to me  
14 that the crux of the issues that I heard from Dr.  
15 Sweeney and Dr. Popendorf are related to the fact that  
16 one measurement of exposure to chlorpyrifos in the  
17 plasma is limited with respect to predicting what may  
18 have happened over the course of pregnancy and  
19 subsequent exposure after delivery. So there's an  
20 exposure piece that's missing in our ability to  
21 understand the full time course that we're interested  
22 in. So --

23 **DR. WILLIAM POPENDORF:** Excuse me.  
24 Well that's true. I mean I am concerned. My comments

1 didn't really relate to anything beyond -- I mean this  
2 is all short-term information.

3 **DR. STELLA KOUTROS:** Sure. So I guess  
4 I'm wondering, given that the Columbia study can't  
5 provide us with a time weighted average or information  
6 about cumulative exposure. And that we don't know  
7 exactly when or if the mother's continued to be  
8 exposed once they left their house. That information  
9 isn't provided in the Columbia study. So it kind of  
10 gets back to this fundamental question that I have in  
11 that we've been dealt the hand that we've been dealt  
12 with and has the agency used that data that are  
13 available to us, not what we would like to have to  
14 make some determinations downstream for their risk  
15 modeling.

16 I thought that their interpretation of  
17 the available information from the Columbia study  
18 which has the uncertainties that you noted was very  
19 considerate in that it took into account information  
20 for pregnancy and labor patterns. And also the  
21 simulations show for example that you know, the  
22 exposure was probably a lot higher at some previous  
23 point in time which was consistent with some of the  
24 data from the study. So I guess I'm just wondering if

1 the panel has any recommendations for the agency given  
2 the data that are available and not based on data that  
3 we don't have.

4 **DR. JAMES MCMANAMAN:** So I'm trying to  
5 figure out how to -- does Dr. Pependorf have a  
6 response to that? Okay. So, Dr. Rohlman you were  
7 next.

8 **DR. DIANE ROHLMAN:** Diane Rohlman. I  
9 just want to point out that in the Dale Haddis  
10 preliminary data that he does -- Dr. Haddis does have  
11 information about the time lag between the baby's  
12 birth date and time and the date of maternal blood  
13 collection. That's found on page 43 in that document.

14 **DR. JAMES MCMANAMAN:** Dr. Fisher, you  
15 had your hand up?

16 **DR. JEFFREY FISHER:** Yes. I think she  
17 -- Diane had pointed out the information. But in the  
18 simulation world, the modeling world, you could  
19 simulate the potential scenarios that we just  
20 discussed and not assume you're in the terminal phase.  
21 And I think -- or my intention in the summary  
22 statement with these measures of exposure can come  
23 from simulation. Through simulation you can get area  
24 under the curve time-weighted average. You can

1 reconstruct and calculate these metrics of exposure I  
2 know we don't have the data so to me it was from a  
3 simulation point of view. And they show up and down  
4 plots, that's an exposure.

5 Those up and down lines can be  
6 presented or calculated as a time-weighted average or  
7 area under the plasma curve. So those are other  
8 metrics of exposure to capture during the exposure  
9 phase.

10 **DR. JAMES MCMANAMAN:** Okay. I think  
11 that unless there's anyone --

12 **DR. PANOS GEORGOPOULOS:** If -- sorry to  
13 interrupt.

14 **DR. JAMES MCMANAMAN:** Yeah. Dr.  
15 Georgopoulos, go ahead. It's good to hear from you.

16 **DR. PANOS GEORGOPOULOS:** Yes. Just you  
17 know, a few years ago in a number of studies we went  
18 to this enterprise of reconstructing exposures of  
19 chlorpyrifos using sparse data and PBPK modeling,  
20 using actually the same models that were available  
21 from Dow in 2009, 2010. And it's an extremely complex  
22 issue because of the time scales involved in this.  
23 Actually in the paper that we published in 2009 the  
24 challenges of reconstructing exposures from biomarker

1 data and PBPK modeling we picked chlorpyrifos as the  
2 model chemical that presents these problems in trying  
3 to reconstruct long-term exposures from a limited  
4 number of points in time.

5 The point that I want to make is  
6 however, in this case we are here to pick the most  
7 simplified approach. And that's why a sensitivity  
8 analysis of the model is probably going to corroborate  
9 the general conclusions of the issue paper. One can  
10 do a systematic reversal symmetry get rather wide  
11 ranges of possible exposures. Which you know, are  
12 going to probably not to be very helpful because it  
13 will depend upon a number of assumptions. And the  
14 assumptions that the agency is making are quite  
15 reasonable. The suggestion that I would have is using  
16 the data reported in Dale's report for the time  
17 between birth and maternal blood collection and so on,  
18 maybe using them in combination with sensitivity  
19 analysis confirms that their range of estimate are  
20 reasonable.

21 But I don't think that a systematic  
22 reconstruction of exposure using the PBPK model can  
23 provide more specific information. Because as I said  
24 we're just going to get a very wide distribution of

1 potential exposures. I mean we don't even know, we  
2 are assuming that the marker application and the  
3 pattern of exposure is an assumption that leads to  
4 reasonable result. And here we can only talk about  
5 changes. So maybe -- and I don't want to elaborate  
6 into the details of this but because of the  
7 (inaudible) wrote this up we've gone through the  
8 exercise of trying to reconstruct complex exposures.  
9 It was the (inaudible) study and other studies and you  
10 get very wide ranges of uncertainty.

11 So it would not really add to much to  
12 this analysis.

13 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
14 Sagiv?

15 **DR. SHARON SAGIV:** Can you go back to  
16 the slide before this? Okay. So from what I'm  
17 reading from the slide and I'm no PBPK specialist or  
18 modeler, it seems to me that the slopes of the  
19 reduction due to the half-lives are dose dependent.  
20 Is that a correct interpretation of this slide? So  
21 from what I'm reading from the appendix, the dotted  
22 versus the solids lines are the pregnant mother and  
23 the non-pregnant woman. But the curves represent  
24 different doses, it depends on where you start.

1 Right?

2 And from looking at the slope of each  
3 of those curves it looks like the slope is quite a bit  
4 higher or larger from when you start. Am I  
5 interpreting that correctly? Because if I am then I  
6 have another point.

7 **DR. LISA SWEENEY:** Are you trying to  
8 curve left and right or different colored lines within  
9 the figure?

10 **DR. SHARON SAGIV:** I don't think it  
11 matters but let me be the left line.

12 **DR. LISA SWEENEY:** Okay. Well --

13 **DR. SHARON SAGIV:** Just the one on the  
14 left.

15 **DR. LISA SWEENEY:** This is Dr. Sweeney.  
16 The y-axis is on a linear scale, not a log scale. So  
17 you would expect the slopes to be different. But if  
18 it were on a linear scale the slopes would look the  
19 same because the half-life is the same. So it's  
20 something that you can't pick up as well in a plot  
21 with that shape of axis.

22 **DR. SHARON SAVIG:** Okay. Thank you for  
23 clarifying that. So if we were to put this on a log  
24 scale the slopes would be the same?

1 DR. LISA SWEENEY: Yes.

2 DR. SHARON SAVIG: Okay.

3 DR. JAMES MCMANAMAN: All right.

4 DR. PANOS GEORGOPOULOS: Also if I can  
5 make -- this particular slide comes from the  
6 (inaudible) report. It's for an oral exposure and a  
7 single oral dose of over 24 hours. So it's not  
8 directly relevant to what we are talking because of  
9 the way the chlorpyrifos. There's a difference  
10 between the inhalation dose versus the oral. So it's  
11 not the most representative of graphs that we would  
12 use. But the main point is that we see something that  
13 is dose dependent is a fact.

14 DR. JAMES MCMANAMAN: Thank you, Dr.  
15 Georgopoulos. Dr. Pependorf?

16 DR. WILLIAM POPENDORF: Yes. And I  
17 apologize. And I was just looking for a curve that  
18 had enough of a timeframe that you could see the  
19 slope. It's not representative -- you know, it's not  
20 specific to what I was talking about. All these  
21 curves yeah, there's some variations with dosing and  
22 the route of dosing, and how much history there is to  
23 it but why all have a curve something along these  
24 lines. And again, you can start at a low point and



1 take a -- in my case what I was talking about,  
2 starting at a low point, one of the middle curves  
3 there and have a short delivery. And there you are  
4 going into the Rauh data, Rauh (2011).

5 Or you could start at a high point and  
6 end up with a long delivery and have the same value.  
7 So it's just representative you know, all your  
8 comments and you know, those are -- yeah, there is --

9 **DR. JAMES MCMANAMAN:** So it's just  
10 meant to illustrate limitations.

11 **DR. WILLIAM POPENDORF:** Illustrative  
12 with -- that's all it was chosen for.

13 **DR. JAMES MCMANAMAN:** Okay. Thank  
14 you. All right. That was a pretty thorough  
15 discussion. Does the agency -- we'll take it back to  
16 the agency. Any need for further clarification?

17 **DR. ANNA LOWIT:** No. We appreciate all  
18 the discussion and we appreciate Dr. Sweeney's very  
19 careful separation of where people concurred and where  
20 there was differences of opinion. I think that was  
21 very helpful.

22 **DR. JAMES MCMANAMAN:** All right. Thank  
23 you. We'll go on to the next charge question then.

24 **DR. ELIZABETH HOLMAN:** Beth Holman at

1 EPA.

2 DR. JAMES MCMANAMAN: This is Charge  
3 Question 4.

4 DR. ELIZABETH HOLMAN: Charge Question  
5 4. Again, Beth Holman, EPA. Question 4: Evaluation  
6 of Columbia Cord Blood Data and Predicted Exposures to  
7 the Cohort (see Section 6). The agency has used the  
8 PBPK model to predict blood levels in women across  
9 several exposure scenarios for comparison with the  
10 cord blood levels reported by Columbia (see Section  
11 6). Food exposure is expected to have occurred (see  
12 Section 6.2), whereas drinking water exposure was  
13 unlikely (see Section 6.1).

14 Given the lack of specific Columbia  
15 exposure information, the agency has developed six  
16 possible residential exposure scenarios representing a  
17 broad range of residential post-application exposures  
18 to chlorpyrifos products available prior to the  
19 voluntary cancellation of indoor products in 2000 (see  
20 Section 6.3). Two exposure scenarios were conducted  
21 using EPA standard residential exposure assessment  
22 approaches; these two scenarios represent the high end  
23 exposure potential.

24 To estimate lower exposures, four

1 additional PBPK model simulations were conducted with  
2 use of reported values from the Columbia  
3 investigators. These six possible residential  
4 exposure scenarios were input into the PBPK model to  
5 predict a range of potential exposures for comparison  
6 to the predicted internal dosimetry levels reported by  
7 the Columbia investigators.

8           Based on the results of these  
9 simulations, the agency has concluded that: 1) the  
10 reported higher blood levels in the Columbia study  
11 from 1998 to 2000 are likely driven primarily by  
12 residential use of the broadcast and perimeter  
13 chlorpyrifos products registered for use at that time;  
14 and 2) these results further support the  
15 reasonableness of the magnitude and distribution of  
16 data reported by Columbia. Please comment on the  
17 agency's conclusions that these scenarios adequately  
18 capture the range of exposure.

19           Please also comment on the agency's  
20 simulations from residential and food exposures and  
21 the degree to which the estimates of internal blood  
22 levels do or do not match the Columbia cohort results  
23 before and after the cancellation of indoor products  
24 in 2000.

1                   **DR. JAMES MCMANAMAN:** Thank you. So  
2 the discussants on this are doctors Jett, Koutros,  
3 Eric, and Popendorf. Dr. Jett is the lead discussant.

4                   **DR. DAVID JETT:** Thank you. So yes, I  
5 was assigned the lead for this question but we are  
6 really fortunate to have experts on the panel with  
7 much more experience with epidemiology and PBPK  
8 modeling. So what I will do is give my general  
9 comments and then just turn the floor over to each one  
10 of the people that participated in our group. So  
11 first, I guess I wanted to make a small comment on the  
12 water exposure piece in the document. And I think  
13 that dismissing the drinking water as a major source  
14 of chlorpyrifos is probably the right thing.

15                   I am still a little unclear on the  
16 significance of the chlorpyrifos oxon expected even if  
17 as stated you know, the chlorination and the mixing  
18 should take care of that and keep it well below  
19 significant levels. With regard to the food, the data  
20 and Table 2 in the document support the agency's  
21 assertion that food exposure is likely low and that  
22 these data are in general agreement with the data from  
23 the Columbia study after the year 2000. In terms of  
24 the modeling effort -- and by the way, some of my

1 comments are going to sound a bit repetitive so I'll  
2 try to keep them short, because they were covered in  
3 the previous question.

4 So for the modeling, the data for the  
5 food seemed to generate realistic data since they are  
6 similar to the lower levels reported in the Columbia  
7 study. However, the peak blood level is 7.14 which is  
8 a little bit higher than the cutoff tertile reported  
9 in the report. So the contribution of food is  
10 probably relatively small but not absolutely absent.  
11 Now with regard to the residential chlorpyrifos  
12 exposure scenarios the uncertainty of the time and  
13 frequency of the residential exposures in relation to  
14 the timing of the cord blood collection is unavoidable  
15 and cannot be guided by the Columba study because  
16 those data are not available.

17 But using monthly applications is a  
18 good estimation since this was reported to be the case  
19 in the literature and is a recommendation for the  
20 frequency of the application of this pesticide. It's  
21 also possible that chlorpyrifos was applied more or  
22 even less frequently, and it would be interesting to  
23 see exactly how more or less frequent applications  
24 would affect the data generated by the model. In

1 terms of the simulations themselves, these are the  
2 stimulations of residential exposures and then their  
3 comparison with the Columbia study.

4 The modeling effort versus the Columbia  
5 study data, in that effort the agency chose to use the  
6 24 hours after the last peak on day 30 as a comparator  
7 for the Columbia study. And I'm not sure the  
8 significance of the other higher concentrations  
9 predicted from the models. And the question, whether  
10 they should be addressed even if they are far higher  
11 than the values in the Columbia study since those  
12 higher values may have been missed due to the timing  
13 of the blood samples in the study. Especially if a  
14 mother delivered shortly after leaving the home, for  
15 instance.

16 And that's about it and so I will turn  
17 it over to our other panel members at this point.

18 **DR. JAMES MCMANAMAN:** Dr. Koutros?

19 **DR. STELLA KOUTROS:** So my disclaimer  
20 is that I don't have a great appreciation for the way  
21 that this data has been simulated or the methods used  
22 to do that. But the data that the agency has  
23 presented with respect to the water and food source  
24 exposure are convincing to support the assertion that

1 it's unlikely that these sources contributed  
2 significantly to the observed high levels of measured  
3 chlorpyrifos exposure in the Columbia cohort. And the  
4 nice range of possible residential exposure scenarios,  
5 I thought that was really interesting.

6 I don't know if it's typical to pick  
7 six, I don't know what the significance of that is.  
8 But I thought it was a nice range of possible  
9 residential scenarios presented and showed and support  
10 the conclusion that the Columbia reported values are  
11 plausible and similar to the simulations.

12 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
13 Ehrich?

14 **DR. MARION EHRICH:** Okay. We have to  
15 go back to some things here. I thought the scenarios  
16 and results obtained likely suggested concentrations  
17 of chlorpyrifos in exposed subjects. Especially  
18 samples obtained after collection of indoor products  
19 will in most cases be below levels of detection. And  
20 I supposed that's fine for simulation because we've  
21 had that before. I think the EPA is put in a hard  
22 spot here, because these epidemiological studies are  
23 good but they were set up to be research studies and  
24 not studies used for regulation, and that only is

1 something that came later.

2 And if you're regulating on something  
3 like residues -- the proposed mean for determining  
4 chlorpyrifos exposure is based on analysis of a parent  
5 compound in blood, metabolites, and urine. If this is  
6 to be the case it is necessary that precise and  
7 sensitive analyses can be reproduced, quantitated in  
8 multiple laboratories with data available for  
9 scrutiny. And I would suggest a recommendation for  
10 the EPA, the scrutiny should be at least as rigorous  
11 as that done for the FDA in dealing with residues in  
12 milk or in food and feed, and that involves additional  
13 laboratory testing.

14 Now if the Center for Veterinary  
15 Medicine wants to close down a dairy facility because  
16 there's residues in the milk they send the sample to  
17 another lab like ours for testing. We also get the  
18 method and see if we can do that type of method.  
19 Which is why I was asking so many -- if you don't  
20 understand it do you ask questions of the people that  
21 did it. Which is why there were so many questions to  
22 Dr. Barr who graciously answered those questions. Or  
23 you use a method that you have in your laboratory.

24 So additional lab testing of suspected



1 samples, method review, and for laboratories like ours  
2 we even have right now, this week, they're testing  
3 unknown samples from the FDA for residues in milk  
4 which are low levels like there would probably be in  
5 cord blood here. So I would suggest from review of  
6 information provided on chlorpyrifos, questions are  
7 raised about basing points of departure for risk  
8 analysis on quantitations from a single laboratory  
9 with analyses done externally, always at the same  
10 laboratory. This adds to putting the EPA in kind of a  
11 bad spot when you're trying to defend the data. And  
12 so I am trying to provide some suggestions for you.

13 So what you did with the data that you  
14 had in doing the modeling I thought you did a good  
15 job. But I question very much that that data --  
16 you're going to have trouble defending that data when  
17 you can't have the actual data, it's not done in  
18 multiple laboratories. The FDA, if they're going to  
19 shut down a dairy facility for example, they do all  
20 this. And that's something much smaller than what's  
21 happening here. We're having something that has  
22 national implications, this is setting a precedent.  
23 And you need really rigorous data in order to set this  
24 up.

1                   And that's not saying anything against  
2                   that study because it's probably the best  
3                   epidemiological study, but it was not set up to  
4                   provide that type of rigor that you have to defend.

5                   **DR. JAMES MCMANAMAN:** Thank you. Dr.  
6                   Popendorf?

7                   **DR. WILLIAM POPENDORF:** I think I  
8                   basically agree that the PBPK models do cover a good  
9                   representative range of exposures. I think we've  
10                  given some good reasons why the peaks that are  
11                  predicted by the PBPK model are not found in the  
12                  Columbia data because of this rapid phase of  
13                  elimination that I was just talking about earlier. I  
14                  did note on the other hand that if you look at the  
15                  time when residential applications were being done the  
16                  PBPK model doesn't really predict any low exposures.  
17                  And there were some found in the pre-cancellation  
18                  phase of the Columbia data.

19                  So that kind of begs the question were  
20                  people all using -- was chlorpyrifos applied to all of  
21                  the residence or not? Supposedly I think there was  
22                  about 15 percent who weren't, or at least self-  
23                  reported not using chlorpyrifos. So how did they get  
24                  zero values? And I also -- I don't have any

1 explanation for this but you may recall back in Wyatt,  
2 2003 they said, 'There was no significant difference  
3 in the levels of chlorpyrifos and some other things,  
4 in maternal and cord plasma levels among the groups  
5 based on self-reported pesticide use.'

6 So they looked that those that reported  
7 use and not, and didn't find any differences. Yet  
8 some people ended up with low values and the PBPK  
9 model doesn't predict low values. So the low end I  
10 guess I have some questions about. But the high end I  
11 think is reasonable and all the other routes of  
12 exposure I'm comfortable with.

13 **DR. JAMES MCMANAMAN:** Okay. This  
14 charge question's open to the other panel members at  
15 this time.

16 **DR. DAVID JETT:** I was just thinking  
17 about I may have said PDBKPK modeling. I work in drug  
18 discovery and development. So a correction I guess.

19 **DR. JAMES MCMANAMAN:** All right. Thank  
20 you, Dr. Jett. Okay. Hearing no additional questions  
21 I'll turn it back to the agency.

22 **DR. ANNA LOWIT:** We don't have any  
23 clarifying questions. Thank you.

24 **DR. JAMES MCMANAMAN:** Okay. Thank you.

1 We'll move on to the next charge question then, Charge  
2 Question 5.

3 **DR. ELIZABETH HOLMAN:** Question 5 -  
4 Options for Deriving a point of departure for  
5 Neurodevelopmental Outcomes Based on the Columbia  
6 Biomonitoring Data. As summarized in Section 7.2, the  
7 agency has proposed a PoD for the observed  
8 neurodevelopmental effects and offered alternative  
9 options based on internal blood concentrations of  
10 chlorpyrifos from the results of the Columbia  
11 University study.

12 Question 5.a., Approach to Using the  
13 Cord Blood. The agency could consider continuing to  
14 use the acetylcholinesterase PoDs and apply additional  
15 factors over and above the Food Quality and Protection  
16 Act 10X Safety Factor to reflect the level of  
17 uncertainty of protecting for neurodevelopmental  
18 outcomes when using acetylcholinesterase for the PoD.  
19 However, the agency would still need to quantify the  
20 difference between effects from acetylcholinesterase  
21 inhibition and from neurodevelopmental outcomes, and  
22 the analysis to evaluate the appropriate additional  
23 factors would again require the agency to make  
24 quantitative use of the Columbia cord blood data with

1 the same uncertainties described above.

2 The agency has elected to propose to  
3 use the cord blood directly as the PoD as the simpler,  
4 more understandable approach. Please comment on the  
5 agency proposal to use cord blood directly as the PoD.

6 **DR. JAMES MCMANAMAN:** Thank you. The  
7 discussants on this are doctors Carr, Funk, Pessah,  
8 Sweeney, and Terry. Dr. Carr is the lead discussant.

9 **DR. SHARON SAGIV:** Can we, before we  
10 dive into this, take a five minute break, please?

11 **DR. JAMES MCMANAMAN:** Sure I think  
12 that's appropriate, yeah. All right. So be back at a  
13 quarter 'til.

14 **(Brief Recess)**

15 **DR. JAMES MCMANAMAN:** Let's get started  
16 again. Okay. I think we'll get started with the next  
17 charge question. This is Charge Question 5.a., and I  
18 think you've read it. You did read it into the  
19 minutes? Okay. So the discussants again are doctors  
20 Carr, Funk, Pessah, Sweeney, and Terry, and the lead  
21 discussant is Dr. Carr.

22 **DR. RUSSELL CARR:** This is an  
23 attempted summation of all my contributors' comments.  
24 It is the opinion of some panel members that the CCCEH

1 study is a well-designed longitudinal birth cohort  
2 research study that provides some of the strongest  
3 epidemiological data linking prenatal exposures to  
4 chlorpyrifos to developmental impairments later in  
5 childhood. And that the longitudinal design and the  
6 measurement of biomarkers specific to chlorpyrifos at  
7 birth are a major strength of this study.

8 However, other panel members have an  
9 opinion that the CCCEH study, while suggesting a link  
10 between prenatal chlorpyrifos exposure and  
11 developmental impairments, is plagued by issues that  
12 diminish the enthusiasm for this study and create a  
13 host of uncertainties. The panel agrees that both  
14 epidemiology and toxicology studies suggest there is  
15 an evidence for adverse health outcomes associated  
16 with chlorpyrifos exposures below levels that result  
17 in 10 percent red blood cell acetylcholinesterase  
18 inhibition.

19 However, the panel agrees with the  
20 agency that applying additional safety factors to  
21 acetylcholinesterase PoD to account for a possible  
22 noncholinergic MOA would be problematic because of the  
23 challenges in justifying any particular value for such  
24 adjustment. The agency has elected to propose the use

1 of cord blood directly as the PoD as the simpler, more  
2 understandable approach. As data accuracy and  
3 reproducibility have emerged there's major concerns across  
4 all fields of sciences. The agency is asking the SAP  
5 to judge the weight of evidence based on the results  
6 from a single longitudinal study, to make a decision  
7 of immense ramifications using the cord blood measures  
8 of chlorpyrifos as a point of departure for risk  
9 assessment.

10 As indicated in the response in Charge  
11 Question 2 the panel considers the agency direct use  
12 of cord blood is inappropriate. The basis for this  
13 includes the inability to know or confidently make  
14 assumptions about aspects of the exposure patterns,  
15 labor and delivery, blood collection, and the  
16 uncertainty and timing of the biomarker measurements  
17 related to developmental susceptibility. In other  
18 words, cord blood measures of chlorpyrifos may be  
19 associated with neurodevelopmental outcomes but not  
20 causal.

21 Exposures during periods of other fetal  
22 development that might be more causally related to  
23 measured health outcomes were not measured and there  
24 is an inability to determine the magnitude of the true

1 magnitude of the exposure. Chlorpyrifos measured in  
2 cord blood is thought to represent a period of  
3 biomarker stability where chlorpyrifos is not at peak  
4 levels or in period of rapid decline. However, there  
5 is uncertainty in the use of the PBPK model for  
6 extrapolating the chlorpyrifos exposure concentration  
7 using the cord blood measures.

8 This is in part due to uncertainty in  
9 the time between peak exposures in cord blood  
10 collection. The lack of biological plausibility or  
11 animal evidence for how peak cord blood levels of  
12 greater than 6.17 pg/g chlorpyrifos can alter Working  
13 Memory and produce neurodevelopmental impairment.  
14 There's a lack of a dose dependence for adverse  
15 biological outcomes, IQ, and Working Memory. These  
16 are key issues in the fields of toxicology and  
17 pharmacology. Transparency is an issue.

18 The agency uses 63 pg/g value obtained  
19 from Rauh (2011) in the document. This high value as  
20 well as four other values were eliminated in the  
21 analysis for the effects on Working Memory in Rauh  
22 (2011). Behavioral data were available for  
23 association with three of the four cord blood values.  
24 The lack of information regarding the modified values



1 of maternal blood used in place of eight percent of  
2 the missing cord blood, as Dr. Popendorf has presented  
3 a graph, that is not a linear relationship when you  
4 make that adjustment.

5 If such imputations cannot be made with  
6 confidence then the cord blood data should not serve  
7 as a basis for quantitative human health risk  
8 assessment.

9 **DR. JAMES MCMANAMAN:** Thank you. Next,  
10 Dr. Funk?

11 **DR. WILLIAM FUNK:** Bill Funk. So Dr.  
12 Carr summarized most of the main points that I had  
13 that he read in his statement. So I'll just reiterate  
14 a couple of them that I think are important. And I  
15 think that we all were in strong agreement that the  
16 evidence is very strong from the Columbia study that  
17 the prenatal exposures are strongly associated with  
18 the health outcomes. The big question that we had,  
19 and I have in particular, is how can these  
20 measurements be accurately used to predict the PoD?  
21 And that's where some of the -- we warrant --

22 **DR. JAMES MCMANAMAN:** Can you put the  
23 microphone a little closer?

24 **DR. WILLIAM FUNK:** Yeah, sure. Sorry.

1 So the big question is taking these single  
2 measurements from the cord blood, how accurately can  
3 you predict the PoD from that? And that is what we --  
4 I would caution with.

5 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
6 Funk. Dr. Pessah.

7 **DR. ISAAC PESSAH:** Just for the record.  
8 I want to clarify that I have the highest respect for  
9 the group at Columbia. And as Center Director for the  
10 Children's Environmental Health System I know of them  
11 very well and I know that they hold themselves to high  
12 rigorous standards. That isn't the point I'm trying  
13 to make by asking whether this is a single study or  
14 not. Currently the NIH is facing an amazing  
15 uncertainty about replication of data. Not falsified  
16 data but data that just isn't repeated and replicated.  
17 And so what you're asking us to do is provide you a  
18 level of uncertainty or how uncertain we feel as  
19 experts in our particular field.

20 And this work that you've put in front  
21 of us goes across many fields by the way. And so I  
22 can only speak from not having a biologically  
23 plausible target which leads to developmental  
24 disorders which is three to four orders of magnitude

1 below any reported biological effects in vivo in  
2 animals, in nonhuman primates, in rats, in mice. What  
3 I'd like to see to reduce my uncertainty is  
4 replication of the study. Now I know you're on a  
5 deadline, but again, given the national and possibly  
6 international ramifications of such a point of  
7 departure one would at least like to see replication.

8 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
9 Pessah. Dr. Sweeney?

10 **DR. LISA SWEENEY:** I have nothing to  
11 add. My comments were well incorporated into the  
12 summary statement.

13 **DR. JAMES MCMANAMAN:** Dr. Terry?

14 **DR. ALVIN TERRY:** So I think most of my  
15 sentiments were also covered. And I would just also  
16 reiterate that even if we took for granted that this  
17 is the best of all epidemiologic studies, it's a  
18 single study. And you know, even if you're thinking  
19 about this idea of changing the safety factor for  
20 cholinesterase, even that takes for granted that you  
21 believe that there truly is in fact a  
22 neurodevelopmental outcome based on these really small  
23 levels. And so for me I mean as a scientist I like to  
24 see something like what the lawyers say, preponderance

1 of the evidence.

2 And a single study, single point in  
3 time, questionable, extremely low values, no  
4 biological plausibility -- there's nothing I'm aware  
5 of in the literature that would suggest you know, pica  
6 moller (phonetic) levels cause some significant  
7 neuronal change that could underlie a prefrontal  
8 cortex-based memory task. It's very difficult for me  
9 to connect the dots with such a -- and make a  
10 recommendation on a decision of such magnitude. And  
11 it came up before about what was discussed in the 2012  
12 SAP. I was there and a lot of the basic science  
13 literature was reviewed and discussed.

14 And yes there's information out there  
15 suggesting neurodevelopmental outcomes in animals. In  
16 our own lab we have some interesting data ongoing  
17 right now in culture where we're seeing some very low  
18 levels CPF concentrations affecting axonal transport.  
19 But this isn't a peer review, hasn't been published,  
20 it's not ready for primetime. So I just don't feel  
21 that as it stands right now and particularly in the  
22 information that we reviewed that I would be ready to  
23 recommend that there is a change to the PoD.

24 **DR. JAMES MCMANAMAN:** Thank you, Dr.

1 Terry. So this is now open to other panel members.

2 Dr. Sagiv?

3 **DR. SHARON SAGIV:** I wanted to  
4 acknowledge the challenge here which is that we have  
5 polar opposite pieces of information. One using  
6 acetylcholinesterase inhibition where the PoD is way  
7 too high. And then we have the Columbia study, which  
8 I as an epidemiologist also have reservations about  
9 using one study, where the PoD is much, much lower.  
10 And so it sort of defies logic to stick with a PoD  
11 that we know is too high. And also defies logic to go  
12 to a PoD that is based on one study. So I think the  
13 challenge -- I'm not offering a solution here but I'm  
14 just recognizing that it's -- from a logical  
15 standpoint how do we come to the middle here?

16 Because I feel that as a panel we can't  
17 ignore the fact that we have an epidemiological study  
18 that is suggesting associations with a  
19 neurodevelopmental, with a number of  
20 neurodevelopmental measures. I want to state again  
21 that I have a great deal of respect for the Columbia  
22 group, I think their data's good. It's one study  
23 though. And so that's where the conundrum comes in.  
24 And I just, I don't know, as the agency I think you're

1 in a very difficult position to bridge that. And as a  
2 panel we're in a very difficult position too because  
3 we don't have the information to bridge that gap.

4 So I just wanted to state that I  
5 recognize those challenges.

6 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
7 Sagiv. Dr. Ehrich.

8 **DR. MARION EHRICH:** Okay. Just for the  
9 record on, Russell on your statement there. There's  
10 also uncertainty with the measurements because they  
11 were not reproduced in another laboratory, the  
12 measurements themselves, the chemistry. So that  
13 wasn't on your list. I think that probably even use  
14 of one study could be improved if those values could  
15 be reproduced, if the chemistry values could be  
16 reproduced in another laboratory, even if the  
17 epidemiology wasn't done. At least that would be  
18 stronger and give the EPA better justification for  
19 defense of whatever they decide here.

20 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
21 Ehrich. Dr. Jett:

22 **DR. DAVID JETT:** This is just again  
23 quick for the record. I just related to Dr. Terry's  
24 comment. I probably, again, don't agree that there is

1 no evidence for noncholinesterase mechanisms both from  
2 in vitro as well as in vivo studies from not just my  
3 laboratory, from several others.

4 **DR. JAMES MCMANAMAN:** Dr. Koutros. I  
5 wasn't sure who had their hand up first. Dr.  
6 Popendorf?

7 **DR. WILLIAM POPENDORF:** We're flexible.  
8 Yeah, Dr. Popendorf. Just a point -- well, actually I  
9 want to make a point but a clarification. Did you say  
10 something about cord blood was in a period of rapid  
11 decline or was not in a period of rapid decline?

12 **DR. RUSSELL CARR:** Chlorpyrifos  
13 measured in cord blood is thought to represent a  
14 period of biomarker stability where chlorpyrifos is  
15 not at peak levels or in a period of rapid decline.  
16 They assumed that it was asymptomatic.

17 **DR. WILLIAM POPENDORF:** Or asyntactic,  
18 yeah.

19 **DR. RUSSELL CARR:** Asyntactic, that  
20 word.

21 **DR. WILLIAM POPENDORF:** Yes. Popendorf  
22 again. Yeah. My prior point was that I would  
23 disagree with that quite strongly. I think it still  
24 is in a period of rapid decline in many -- in most

1 cases. Well more than half of the cases based on the  
2 evidence that we have. So that would be certainly a  
3 difference in that aspect of it.

4 **DR. RUSSELL CARR:** Basically the  
5 statement says, "Is thought based on the assumptions  
6 that were made." I think that statement was going --  
7 that the assumptions were made in a document that  
8 assumed that it was stable and not in period of rapid  
9 decline. So I'll make that clear.

10 **DR. WILLIAM POPENDORF:** Yeah. So  
11 you're reflecting the issues paper position rather  
12 than our position? Yeah, okay.

13 **DR. RUSSELL CARR:** Yes.

14 **DR. WILLIAM POPENDORF:** That would be  
15 important.

16 **DR. JAMES MCMANAMANG:** That was Dr.  
17 Carr and Dr. Popendorf.

18 **DR. WILLIAM POPENDORF:** So, yes. And  
19 like I say I would say I would definitely believe that  
20 it is in a period of rapid decline. And the other  
21 much less important issue was again not having access  
22 to the data and the way the Columbia group manipulated  
23 the data in a couple of ways to deal with missing  
24 samples. Particularly in this case the missing cord



1 blood samples. And they used the Wyatt formula which  
2 was -- we don't know what the data was, they didn't  
3 show us the data. They ran that log-log correlation  
4 to come up with a formula.

5           So when they had missing -- they had  
6 about eight percent, so 21 cord blood values were  
7 missing. They used the formula, applied it to the  
8 mother's data, put it into -- well, into the cord  
9 blood database to run the correlations that they then  
10 used. So we don't know really what was the data to  
11 generate that formula. It was clearly non-linear. We  
12 don't know where the missing values were and what  
13 influence that may or may not have had on the  
14 distribution of cord blood and the resulting  
15 correlations.

16           So that's just one more unknown. Then  
17 there was the point made about there were initially  
18 four sort of outliers that were beyond the scale of  
19 Figure 1.a. The memo that we had back from Columbia  
20 indicated that they removed two of them. One subject  
21 did not have outcomes so they didn't have the Working  
22 Memory index value. They took out the 63 with very  
23 little -- no objective criteria. This influence was  
24 observed -- was confirmed that based on residual

1 analysis -- misquoting again, start at the beginning.

2 "Subject with 63 pg/g was a highly  
3 influential observation (outlier) and drastically  
4 impacts inference." So they took that one out. Then  
5 they had two left. When they ran the cubic's blind  
6 correlations that they showed in the figure they took  
7 the other two out which is why they only went up to 25  
8 on the scale. It wasn't clear when they ran the  
9 regressions that gave the slopes whether or not those  
10 two values were in. And again no particular criteria  
11 other than they had an influence on the stability of  
12 the regression whether they were in or not.

13 So they took them out. And if you look  
14 at the data that they left in I mean if you're picking  
15 and choosing you can pick and choose other points. If  
16 you look at that figure -- Fred, I don't know if you  
17 want to pop that one back up again. But just visually  
18 looking at the data I mean the numbers are the numbers  
19 are those are valid numbers. But looking at the data  
20 one can pick and choose other points that probably  
21 influence that slope. So it was rather subjective  
22 what they did. Which is again, a concern using that  
23 as a PoD. It's -- there are several.

24 **DR. SHARON SAGIV:** Can I just address

1 the question of input?

2 **DR. JAMES MCMANAMAN:** If he's finished  
3 then -- Dr. Sagiv?

4 **DR. SHARON SAGIV:** So in epidemiology  
5 we do something called influence analysis and it's  
6 usually done statistically. So I need to read back  
7 and see what they did, but I believe that's what they  
8 did, an influence analysis. Whereas if one  
9 observation influences the results in an extreme way  
10 it is sort of standard to remove that observation.  
11 Because one observation should not be influencing an  
12 entire curve. So I don't think they picked it out --  
13 what I'm trying to get at is I don't think it was a  
14 subjective decision.

15 I mean maybe using influence analysis  
16 could be perceived as subjective but we often do it in  
17 epidemiology. And I think it's usually done as a more  
18 conservative approach. That usually leads to -- often  
19 leads to a lower effect. So I would have to see  
20 exactly -- I don't know if they actually mentioned why  
21 they took it out. It's in the paper I'm sure but --

22 **[Speaker off microphone]**

23 **DR. SHARON SAGIV:** It's not in the  
24 paper. But did they say that they did an influence

1 analysis, do you remember? Okay. All right.

2 [Speakers off microphone]

3 DR. SHARON SAGIV: So when they say  
4 highly influential observation that's a little bit  
5 different than being an outlier. An outlier would be  
6 that you have a point that's much, much higher than  
7 the distribution of exposures. I'm assuming that  
8 you're basing this on exposure. An influential  
9 observation would be different than that in that that  
10 data point would influence the results, the effect  
11 estimate, the association, unduly. And I believe when  
12 you say highly influential observation that's what  
13 they're getting at. That it affected the results.

14 So if you did the analysis with all the  
15 data points, it would look very different than the  
16 analysis taking that one observation out which I  
17 believe is a more conservative approach to presenting  
18 your results. Because one observation should not be  
19 influencing your results to that extreme. So that's  
20 usually why we do it and it usually leads to -- I'm  
21 saying usually because I don't know what they did  
22 here, usually leads to a more conservative estimate,  
23 an estimate closer to the null

24 I just wanted to make that distinction

1 that they're not cooking their data here. I think  
2 they're using an approaches that we use in  
3 epidemiology to get at a more conservative estimate of  
4 the fact.

5 **DR. WILLIAM POPENDORF:** And I certainly  
6 wouldn't challenge that. Fred, can you go another, I  
7 don't know, slide forward the other way? Yeah. I did  
8 this, this is just the full graph. And to your point,  
9 we don't know where that point was. So you may be  
10 right. I mean they may have done exactly what you  
11 said. What influence it may have had, as you can see,  
12 Figure 1.a. is the upper left and the 63 value that  
13 they threw out, I ran the scale up to where, I think  
14 it's 60. So you can kind of see what influence, it's  
15 way out there on the end of that scale.

16 And if you look at the slope that they  
17 did report without that point and two others, project  
18 that slope down, not this slope but the one they ran  
19 for regression, it actually predicts an effect below  
20 the x-axis that they showed. Which is somewhere  
21 around 60 or something. So whether you know, what the  
22 influence was, was it an influence to keep it less of  
23 an effect or was it an influence that would have made  
24 it more, we don't know. It was apparently you know,

1 based on what they say it had an influence.

2 And then the other two points -- the  
3 next page if you read that. But I mean we don't know,  
4 it's just one of those uncertainties that we have  
5 here.

6 **DR. STELLA KOUTROS:** This is Stella  
7 Koutros.

8 **DR. JAMES MCMANAMAN:** Okay.

9 **DR. STELLA KOUTROS:** I would like to  
10 disagree with some of the conclusions that were  
11 presented in summary by Dr. Carr at the start of our  
12 discussion of this question. Although it sounded to  
13 me that many of you said that you appreciate the value  
14 of the epidemiologic study, all of the subsequent  
15 points devalued all of the information that those  
16 epidemiologic studies provided. That's the wealth and  
17 totality of the epidemiologic data to support a  
18 relationship between prenatal exposure to chlorpyrifos  
19 and neurodevelopmental outcomes, number one.

20 The fact that we do not understand the  
21 mechanism by which this occurs does not mean it  
22 doesn't actually happen, but that it is a source of  
23 uncertainty. There were several other points that I  
24 can't even remember to make at this point and I will

1 include in my written comments associated with this  
2 question. However, I do want to say if I did not say  
3 before that I would agree with the agency's proposal  
4 to use the cord blood directly as the PoD. And one  
5 other comment before we move on to someone else or to  
6 the next question.

7 For those of you who have said that  
8 replication is necessary and that careful scrutiny of  
9 these results is warranted, I totally agree with that.  
10 But number one, think about what opportunities we  
11 really do have to replicate this data in the real  
12 world, number one. And then number two, I wanted to  
13 state my really big surprise that this panel of  
14 scientists is not willing to accept published, peer  
15 reviewed data from the Columbia studies. It seems to  
16 me that some of you would not be satisfied until you  
17 get to analyze this data yourself.

18 And I think that's inappropriate. We  
19 can't even seem to agree that the Columbia researchers  
20 can do a proper consideration of correlation. And  
21 that is shocking to me from a group of scientists. I  
22 would have expect that from industry and we heard it  
23 yesterday but it's kind of surprising to hear from  
24 some of the people at this table.

1 DR. JAMES MCMANAMAN: All right. Dr.  
2 Rohlman?

3 DR. DIANE ROHLMAN: Diane Rohlman. So  
4 I've been listening to these comments and I've been  
5 having many thoughts going through my head. And I  
6 agree with, certainly with Stella's points as well as  
7 Dr. Sagiv's -- David's, Alvin's. I mean there's many  
8 -- every study has uncertainty in it and as scientists  
9 we are reluctant to rely on one study for many reasons  
10 because we know of that uncertainty. And we're in  
11 this position here that replication of this study is  
12 not possible. So we are forced to think outside the  
13 box. We're forced to think of ways to replicate it.

14 And I commend the EPA and the modelers  
15 in the room for using those methods to try to take  
16 what we do know, things we can reproduce, and to use  
17 that to try to replicate this data. And I think that  
18 is an approach going forward. I also recognize the  
19 animal researchers in the room and the people working  
20 with cells who are able to look at other things. And  
21 what we're trying to do here is to build a body of  
22 evidence to make decisions to protect the health of  
23 people. So when we look at this we need to weigh a  
24 lot of factors here and I'm torn.



1 I agree with Dr. Sagiv that the current  
2 PoD using the cholinesterase inhibition is probably  
3 too high. When we look at you know, the weight of  
4 evidence from occupational studies, from the child  
5 studies, from the animal literature, we see that there  
6 probably needs to be a change. I also recognize Dr.  
7 Pessah's viewpoint that a single study is very  
8 difficult here. And in the absence of replication we  
9 need to find alternatives here. So you know, again,  
10 I'm looking for solutions. I recognize the issue, I  
11 recognize the impact this has economically on our  
12 agricultural producers. I see all of that.

13 But we have to use our science which is  
14 what we're called here to do. And I think Dr. Koutros  
15 has a really good point you know, is that these  
16 studies were peer reviewed. The Columbia group does  
17 have a stellar reputation. They have received  
18 numerous grants with federal funding that were peer  
19 reviewed from a number of panels. We need to put some  
20 faith in their findings there. So no conclusions,  
21 just a bunch of statements. And I'll stop here.

22 **DR. JAMES MCMANAMAN:** And Dr. Carr?

23 **DR. RUSSELL CARR:** You're right, we  
24 will never be able to replicate this study because of

1 the voluntary cancellation of chlorpyrifos because of  
2 potential for neurotoxicity. We've eliminated this  
3 exposure scenario from our situation by that voluntary  
4 cancellation. That almost in itself is kind of a  
5 pseudo safety factor move and that move improved the  
6 situation and it's evident in the data. And I'm just  
7 saying that that's something that we should also  
8 consider. That we've made -- APA did a good job and  
9 Dow agreed and this exposure scenario should not  
10 happen again unless somebody does something illegal.

11 **DR. JAMES MCMANAMAN:** Dr. Sweeney.

12 **DR. LISA SWEENEY:** I'm a co-author on a  
13 paper on the use of PBPK modeling and risk assessment  
14 where the lead author is from the EPA and a couple of  
15 co-authors are also from the EPA that's titled, "Why  
16 Being Published is Not Enough." So it's pretty  
17 standard in PBPK modeling that the EPA is not just  
18 going to use a model in the form in which it was  
19 published for risk assessment because it may not have  
20 been developed with risk assessment in mind. And I  
21 guess I don't feel too sorry for epidemiologists if  
22 someone wants to reanalyze their data. Because I  
23 don't think I've ever had a model that the EPA just  
24 used off the shelf without checking get out and

1 reworking it and adjusting the parameters.

2           And that's part of the problem with  
3 modeling and data analysis is they can always go back  
4 and say, 'Well what about this, what if you changed  
5 this, what if you did it a little bit differently?'  
6 Whereas, people aren't going to tell you to redo a two  
7 year cancer study in animals. So I think that's just  
8 part of being in the data analysis world as opposed to  
9 the animal study data generation world. That redoing  
10 the analyses is something that just comes with the  
11 territory.

12           **DR. JAMES MCMANAMAN:** Dr. Holman?

13           **DR. ELIZABETH HOLMAN:** I agree, Dr.  
14 Sweeney, that it is important. And certainly having  
15 access to the data and rerunning these analyses would  
16 increase our confidence in these findings. So the  
17 data is available, is not available, that's not been  
18 made clear to the panel. Dale Haddis seems to have  
19 more information. I think that all needs to be  
20 considered as well. I'd also like to address Dr.  
21 Carr's comments here. And he is correct in that the  
22 residential use of chlorpyrifos has been eliminated  
23 but it is still being used.

24           I think we need to think about these

1 future exposure scenarios and think about occupational  
2 exposures and take home exposures and really focus on  
3 what are the current exposures and how to address  
4 those. And that, perhaps, is where the other child  
5 study, the CHAMACOS project in particular, could be  
6 addressing those as well.

7 **DR. JAMES MCMANAMAN:** Dr. Pependorf?

8 **DR. WILLIAM POPENDORF:** Question  
9 actually, because a couple of people have mentioned  
10 the ability to replicate or alternatives to the PoD or  
11 the approach. Is there a question where we are  
12 actually looking for -- where they are looking for  
13 alternatives?

14 **DR. JAMES MCMANAMAN:** I think that that  
15 was brought out in the --

16 **DR. ELIZABETH HOLMAN:** It would be this  
17 one because the preamble to Question 5 is basically  
18 the alternative approach. And I haven't heard an  
19 alternative discussed.

20 **DR. WILLIAM POPENDORF:** Okay. So I  
21 would like to, if I could, it's not just this panel  
22 that has had trouble with this particular question.  
23 The previous panels have also had trouble with this.  
24 And I'm quoting here in the 2008 -- this is from the

1 2012 Scientific Advisory Panel. "In 2008 the SAP  
2 advised against using data from the epidemiology  
3 studies, including the Columbia mother's and newborn  
4 study which measures chlorpyrifos directly before  
5 deriving a point of departure due to limitations and  
6 exposure assessment in these epidemiology studies for  
7 the purpose of risk assessment."

8 And they go on to say, "The panel  
9 recognizes the limitations of estimating chlorpyrifos  
10 exposures based on exposure measures collected in the  
11 three longitudinal children's cohort studies, the  
12 Columbia study, the Mount Sinai study, and the  
13 CHAMACOS study. Consequently, the panel largely  
14 concurs with the EPA that the data generated from  
15 these studies alone are not adequate enough to obtain  
16 a point of departure for the purpose of quantitative  
17 risk assessment." So there has been a long-standing  
18 concern about the use of these data because of the  
19 problems associated with these data.

20 Not that they weren't -- not to impute  
21 the investigators or that the studies were not done  
22 correctly but it's just that they were limited. And  
23 to ignore those eliminations has been a problem with  
24 the 2008, 2012, and now with this panel. So I don't

1 think that we are unique in our questioning of the use  
2 of these data.

3 **DR. JAMES MCMANAMAN:** Dr. Hayton?

4 **DR. WILLIAM HAYTON:** Cord blood -- I'm  
5 kind of late to the party with the question but is  
6 that arterial blood or venous blood? It's mixed?

7 **DR. WILLIAM POPENDORF:** It's blood in  
8 the umbilical cord.

9 **DR. WILLIAM HAYTON:** But doesn't the  
10 umbilical cord -- I mean it carries the blood supply  
11 to the fetus and a return from.

12 **UNIDENTIFIED SPEAKER:** Really no one is  
13 qualified.

14 And unless the fetus is at some kind of  
15 steady state they're not the same thing. I have no  
16 idea either but --

17 **DR. STELLA KOUTROS:** This is Stella  
18 Koutros. I just wanted to ask a clarifying question  
19 to Dr. McManaman who read a quote from the 2008?

20 **DR. JAMES MCMANAMAN:** No. This is from  
21 the 2012. That was referring to the 2008.

22 **DR. STELLA KOUTROS:** Okay. I thought  
23 it was my understanding that subsequent evaluations  
24 may have made some updated conclusions based on that.

1 But maybe the agency can correct us if we're wrong.

2 **DR. JAMES MCMANAMAN:** And there were  
3 several recommendations from the 2012 that we could  
4 read that were related to considering other, for  
5 instance, the TCPy metabolites which are mainly  
6 present in foods. So I don't know that we can -- can  
7 we come up with a help to the agency for using  
8 something other than cord blood? I mean that's the  
9 measurements we have but we feel there is a real  
10 problem with using them because of the uncertainties  
11 in what those measurements mean and how they relate to  
12 exposure.

13 And I don't -- I mean I haven't heard  
14 anyone, the agency, from public commenter's, to  
15 members of this panel come up with anything that gives  
16 me confidence that we will ever because the  
17 limitations -- I mean the studies are too limited. So  
18 I hate to be a negative Nancy about this but it's --  
19 Dr. Rohlman?

20 **DR. DIANE ROHLMAN:** Diane Rohlman. So  
21 this is maybe moving outside of my expertise but what  
22 the heck. I think that you know, I agree that we have  
23 heard a lot of information about how the cord blood  
24 might not be the appropriate biomarker. That there

1 are problems with other biomarkers that are out there  
2 as far as being nonspecific. But perhaps we need to  
3 take a weight of evidence approach with those  
4 biomarkers. Cholinesterase inhibition is probably not  
5 the best measure because we are seeing it affects  
6 below cholinesterase inhibition.

7 And it's also maybe not a good measure  
8 when you think about trying to collect that because  
9 there are problems with cholinesterase inhibition in  
10 that you need to have two samples. There's a lot of  
11 individual variability as well. However, that is a  
12 biomarker that can be used. TCPy, although  
13 nonspecific and is available in the environment and  
14 there's other concerns that have been raised, also  
15 could be used as another biomarker of exposures. The  
16 DAPs which are widely collected, we have NHANES data,  
17 we have a lot of data from the other child studies  
18 which are very nonspecific for chlorpyrifos, are  
19 focusing more on organophosphates as well.

20 So is there a way to combine those  
21 different types of biomarker to look at the  
22 relationship between the cord blood, the maternal  
23 blood, the DAPs, the TCPy? And these are things that  
24 maybe we uncouple from the Columbia study, we can



1 model in with animal studies, we can link it with  
2 other outcomes. Again, this is not my area of  
3 expertise. I know that you know, I think Dr. Lowit  
4 did a very good job this morning explaining the  
5 situation with our two options as far as developing  
6 appoint of departure.

7           The two options, one is to use the  
8 maternal cord blood levels which we've had much  
9 discussion about and have expressed concern. The  
10 second one is to remain with the 10 percent  
11 cholinesterase inhibition but add levels of  
12 uncertainty to that. And again, what would those look  
13 like and how would we assess that? So perhaps a third  
14 alternative is to use the tools that we have to think  
15 about combining different measures and coming up with  
16 some sort of weight of evidence approach.

17           And all I can really do is present the  
18 big picture and hope that the experts can take it and  
19 run with it.

20           **DR. JAMES MCMANAMAN:** I think Dr. Jett  
21 had his hand up first.

22           **DR. DAVID JETT:** This is great tie-in  
23 because these are the two things I was thinking about.  
24 First was in terms of -- if I were trying to figure

1 out -- I keep thinking about the deadline. And if I  
2 was trying to figure out how to respond to that  
3 deadline and if one of the options is you know, to  
4 maybe buy some time for lack of a better word, is  
5 whether or not there are some studies right now that  
6 are ongoing but near finished that could potentially  
7 augment the data from the Columbia study. That's one  
8 thing.

9 And I know of a few things that are  
10 going on and they're CPF levels, organophosphate  
11 levels, as well as biomarkers that could. That was  
12 one thing. And Diane just -- let's see, what was the  
13 other thing? But yeah, I mean I think that -- oh, the  
14 other thing was this idea of uncertainty. And when I  
15 was thinking of trying to, as I said before, trying to  
16 focus on uncertainty, identify the uncertainty, I  
17 never really thought about using that uncertainty for  
18 the existing cholinesterase point of departure.

19 I guess the question that I have -- and  
20 I just don't know enough about risk assessment and  
21 regulatory, but is it possible to include higher  
22 levels of uncertainty than the 10X factor. And if so,  
23 maybe that's the approach that we should take. And so  
24 I sort of repeated what you said but I just wanted to

1 add that because I thought about that.

2 **DR. ELIZABETH HOLMAN:** If that was a  
3 question to the agency the answer is yes, that  
4 additional uncertainty factors can be applied.

5 **DR. JAMES MCMANAMAN:** Dr. Pessah.

6 **DR. ISAAC PESSAH:** There is clear human  
7 data that when you alter cholinesterase in the brain  
8 you change Working Memory. Now, it's in a different  
9 context, it's for treatment of Alzheimer's Disease.  
10 You improve Working Memory. But that doesn't mean if  
11 that change occurs during development where  
12 cholinergic synapses are making and breaking a million  
13 a second is the latest estimate that you wouldn't have  
14 abnormal development.

15 And so why can't we use an anchor  
16 that's well-known to derive more rigorous points of  
17 departure rather than journey into the unknown? Which  
18 is the way I have to view this as a molecular and  
19 cellular toxicologist.

20 **DR. JAMES MCMANAMAN:** Thank you. In  
21 response -- this is Dr. McManaman. In response to  
22 that, I think that there is evidence emerging, and  
23 it's a little bit old now, too, that these agents can  
24 have effects on the muscarinic receptors and effects

1 on other neurotransmitters. And to the degree that  
2 these have been explored as modes of action or uses  
3 for points of departure I think hasn't really been  
4 fully discussed, at least in this panel. But I think  
5 it's something that needs to be discussed as an  
6 alternative to using a single study, single issue that  
7 has a limited reproducibility because of the nature of  
8 it as the point of departure.

9 So I throw that out as an alternative  
10 to how we could move forward with the risk assessment  
11 on this.

12 **DR. DAVID JETT:** Yeah. This is Dave  
13 Jett, NIH. I was going to say that comment made me  
14 feel young again because I actually did that for my  
15 dissertation and was publishing in the eighties on  
16 direct action of LPs on muscarinic receptors. So,  
17 yeah. And the other thing that I would add relative  
18 to Dr. Pessah's comment is there is a clear  
19 morphogenic role for acetylcholinesterase in  
20 neurodevelopment that has nothing to do with the  
21 active binding site. And we've shown and others have  
22 shown that indeed these you know, cholinesterase can  
23 have this effect.

24 So this is what I meant earlier about

1 these non-cholinesterase mechanisms -- well, in that  
2 case it's cholinesterase but non-catalytic -- it's  
3 more morphogenic than catalytic. So yeah, I think  
4 that you're right. I mean these are -- potentially we  
5 could revisit the idea of using cholinesterase in a  
6 different way as a point departure.

7 **DR. JAMES MCMANAMAN:** I'm going to  
8 interrupt just for a second here. So this is Dr.  
9 McManaman again. This is from the 2012 SAP, "The  
10 panel concurs with the agency's position that the  
11 acetylcholinesterase data continue to be the strongest  
12 resource of data for deriving points of departure for  
13 chlorpyrifos." So there has been a change since 2012.  
14 The panel agreed with the use of acetylcholinesterase  
15 as the strongest evidence for point of departure.

16 And I have not heard anything -- I mean  
17 we can't ignore the epidemiological data but I have  
18 not heard anything that really refutes that, you know.  
19 The levels are much below the cholinesterase  
20 inhibition but they're much below anything that we can  
21 imagine in terms of biological effect. So it makes it  
22 very difficult to assess what should be used as a  
23 point of departure and risk assessment since we're in  
24 a kind of a limbo area in terms of our knowledge. Dr.

1 Popendorf had his hand up.

2 **DR. WILLIAM POPENDORF:** Thank you.

3 Just a couple of points here. Just kind of going back  
4 to linking the 2008, 2012 reports to their concerns.

5 I mean that's one of the things that drove the PBPK  
6 development that we're now looking at today that I  
7 think has some added benefits. I think the comments  
8 we made yesterday in the recommendation to Question  
9 1.a. was to look at -- I mean we felt looking at  
10 maternal blood, not cord blood, was a viable  
11 alternative. It hasn't been explored, they didn't  
12 publish on it, it presents its own set of problems.

13 But maybe for various reasons we all  
14 seem to agree, or at least the primary reviewers, and  
15 there was maybe some others that didn't, that it has  
16 potential to be looked at much like they did with the  
17 cord blood as a point of departure. Probably in  
18 conjunction with the PBPK because obviously you're  
19 looking at the terminal data. So we don't know you  
20 know, what happened barely a week ago, let alone what  
21 happens months ago during gestation. Perhaps as an  
22 aside, but comments have been made, they were in the  
23 issue paper made today, about effects well below the  
24 change in cholinesterase or inhibition of

1 acetylcholinesterase.

2 I was wondering about that and I took  
3 the citations that were in the issues paper, Index 3  
4 perhaps -- Dawson, Derone and Howard, et. al  
5 (phonetic). And went back to look at their levels of  
6 cholinesterase inhibition and their exposure. These  
7 were in vitro studies. They actually did measure  
8 cholinesterase inhibition and we're talking about in  
9 vitro in the pg to below pg range and they aren't that  
10 different. They were seeing at the lowest level --  
11 and I've got a presentation we could spend some time  
12 looking at but the lowest level they looked at that  
13 saw effects was about a two percent cholinesterase  
14 inhibition.

15 Now clinically two percent isn't  
16 anything but -- and everything else was the  
17 cholinesterase inhibitions, this was in vitro and  
18 brain cell. So we're looking at basically brain cell  
19 acetylcholinesterase. But they aren't as different as  
20 I think some comments have been made and was in the  
21 issues paper. And third, as a potential alternative  
22 epidemiologic study we can't replicate certainly what  
23 was done.

24 But if someone did have questions about

1 the differences in pre- and post-outcomes there are  
2 opportunities to go to look at basically cross-  
3 sectional studies in residents in the same buildings,  
4 the same facilities, under the same exposure.  
5 Potentially using aged children who were at early ages  
6 at school if there were any standardized tests,  
7 looking at people who were born the cancellation --  
8 mid-1990s versus let's say post cancellation. You  
9 could get thousands of people, you could get super on  
10 your p-values.

11 **DR. STELLA KOUTROS:** Not blood samples.

12 **DR. WILLIAM POPENDORF:** No. No blood  
13 samples but in terms of with cholinesterase inhibition  
14 and without. This is simply the question of use  
15 without -- I mean we had some data that showed  
16 differences before and after, people had questions  
17 about that. That part could get replicated very, very  
18 readily by cross-sectional studies with data that's  
19 probably available.

20 **DR. STELLA KOUTROS:** This is Stella  
21 Koutros. What you're proposing is a significantly  
22 more crude epidemiologic study than the one that has  
23 been provided to us for consideration. And because of  
24 that and because of Dr. McManaman's comments about not



1 having any comments to support the validity of the  
2 cord blood measurements I will provide some comments  
3 on that. But I just want to say a few things about  
4 the value of that data. First of all, we have blood  
5 samples collected from a prospective cohort that show  
6 a quantitative measure of exposure to chlorpyrifos.

7 This is an amazing piece of information  
8 and some of you have doubted the reliability of that  
9 information. Which, I understand that it hasn't been  
10 replicated at another lab. However, it has been  
11 compelling to me that we observe a temporal trend in  
12 the decline of those measurements of chlorpyrifos in  
13 the blood directly associated with the residential  
14 cancellation which gives me confidence in the observed  
15 measurements in those data. Second, it is  
16 extraordinarily unique that there is a study that has  
17 even timed this blood collection to the specific  
18 exposure that occurred.

19 I believe that it's a bit crude but we  
20 know that these people were living in a place, based  
21 on the data provided by the Columbia study, where  
22 chlorpyrifos was applied in their home. And that is a  
23 really unique piece of information. Not a lot of  
24 studies have been able to characterize that. So I

1 don't think we should take it for granted and throw it  
2 away because we think we can only bound it by about 30  
3 days.

4 **DR. JAMES MCMANAMAN:** Yes, Dr. Carr?

5 **DR. RUSSELL CARR:** I was going to  
6 address this a little later. But the people were  
7 living in a place where also nine to 10  
8 organophosphates were detected -- five carbamates in  
9 addition to other compounds. The level of Diazanone in  
10 the air samples ranges from anywhere -- if you take  
11 the mean it's 7.5 fold higher and if you look at just  
12 the high values it's almost 31 fold higher. And what  
13 I'm saying is there are issues as far as not just  
14 chlorpyrifos. We can detect chlorpyrifos in the blood  
15 because it's lipophilic, Diazanone is not that  
16 lipophilic.

17 It's not going to do what chlorpyrifos  
18 does in a pharmacokinetic model. But the point is it  
19 was present in the environment at seven times higher  
20 concentrations than chlorpyrifos.

21 **DR. STELLA KOUTROS:** And what you're  
22 describing is actually another strength of the study  
23 in that there is detailed characterization of known  
24 co-exposures that are relevant to our consideration.

1 And which have been considered as co-variants and as  
2 confounders of the associations that we have been  
3 looking at and they've been carefully considered. I  
4 actually think that's a huge strength of what we've  
5 been able to look at.

6 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
7 Koutros, Dr. Carr. Dr. Sagiv?

8 **DR. SHARON SAGIV:** I have a -- I feel  
9 like this is sort of a little bit of a brainstorming  
10 session so I'm going to put this out there. And  
11 people might hate it but I have a little bit of an  
12 idea. So I have a lot of faith in the Columbia study.  
13 I will state again my reservation about this being one  
14 study and I think that more epidemiologic studies need  
15 to be done. But wait, I'm not done yet because I know  
16 that's not going to be a popular sentiment in this  
17 room. There are a number of longitudinal  
18 epidemiologic studies that have banked blood, I don't  
19 know banked cord blood, banked maternal blood during  
20 pregnancy at delivery.

21 I don't know how stable chlorpyrifos is  
22 in that blood so that's maybe something we need to  
23 think about but there are -- and they also have  
24 existing measures of neurodevelopment. So I feel like

1 this is actually something that could be done and  
2 replicated pretty quickly and easily if the funding's  
3 there. But hear me out, I'm not done. I am not  
4 saying that we wait for that epidemiologic evidence  
5 for more than one study to come out. I'm saying  
6 that's the long view, that we can replicate this  
7 study, it's possible to do this.

8 But we can't wait until those studies  
9 are done and I agree with you on that. So it feels  
10 like if we can make a -- and the thing that feels a  
11 little bit smoke and mirrors to me is this  
12 acetylcholinesterase inhibition. What does that  
13 really translate into? What is the uncertainty around  
14 that inhibition translate to in terms of a reference  
15 dose? Like what does that look like? If there's  
16 enough uncertainty in that acetylcholinesterase  
17 inhibition does that bring the level down, the PoD  
18 down enough that's reasonable?

19 Because I think that the PoD now is way  
20 too high. But to bring it down using those  
21 uncertainty factors based on those data, those  
22 uncertainty factors, what does that look like?  
23 Anybody in the room know what that would look like or  
24 how that would even translate into a PoD based on cord

1 blood? So that's what I'm offering. And this is just  
2 a thought and offering as a way of compromising so we  
3 don't wait too long but then we, at the same time,  
4 have other longitudinal studies looking at this  
5 question. And then down the line the PoD gets  
6 adjusted again. It's just an idea so feel free to  
7 attack me now.

8 **DR. WILLIAM POPENDORF:** Is that  
9 different than the right hand or the left hand side of  
10 the figure that was talked about earlier by Dr. Lowit  
11 in the --

12 **DR. ANNA LOWIT:** What she's suggesting  
13 was my left side.

14 **DR. SHARON SAGIV:** It was the left side  
15 but with the idea that we have other epidemiologic  
16 studies going in the meantime that could maybe inform  
17 the PoD better.

18 **DR. JAMES MCMANAMAN:** Well, this has  
19 been an interesting conversation. Dr. Popendorf, do  
20 you have another comment to make?

21 **DR. WILLIAM POPENDORF:** Just slightly.  
22 I mean what I was proposing was not -- I mean I think  
23 the opportunities to show, to confirm even more  
24 strongly the effect of chlorpyrifos. Not you know,

1 I'd expect there to be an effect. And so if someone  
2 were questioning the small sample size in the Columbia  
3 data there are opportunities to expand the sample size  
4 and to show that -- if this effect is true I'd expect  
5 that to be much more significant.

6 **DR. JAMES MCMANAMAN:** Okay. Thank you,  
7 doctor. This is Dr. McManaman and that was Dr.  
8 Pependorf. We have a situation where there's got to  
9 be variability in the exposures of certain populations  
10 of people ongoing at the current levels. The issue is  
11 that there's no safe level for chlorpyrifos, that's  
12 what I heard. That the level of point of departure is  
13 going to be to the point where it's going to be  
14 revoked because there's really no safe level of doing  
15 that. And maybe I'm misstating that, so correct me.

16 But given its widespread use, and given  
17 the variability of exposures, if there is a  
18 relationship we should be able to detect that now at  
19 these levels. If these current levels are still toxic  
20 to human populations or still have adverse effects on  
21 human populations then we should be able to detect  
22 that. So perhaps additional studies are needed with  
23 the current populations to see if there is any  
24 correlation between the level of chlorpyrifos and

1 neurologic changes.

2 **DR. STELLA KOUTROS:** This is Dr. Stella  
3 Koutros. It's difficult for me to understand your  
4 suggestion given that we know from the Columbia study  
5 that contemporary measurements of chlorpyrifos are  
6 likely to be below the limit of detection.

7 **DR. JAMES MCMANAMAN:** Well if they're  
8 below the level of detection for that study then that,  
9 in my mind raises an issue with the validity of that  
10 study. If it's below the level of detection and we  
11 see correlations drawn between whole IQ and  
12 chlorpyrifos levels, then I don't see how you can --  
13 you can't be sitting here arguing it both ways. So if  
14 the levels now are still toxic then we should see that  
15 there should be an association between toxicity and  
16 the levels.

17 **DR. SHARON SAGIV:** Sharon Sagiv from  
18 UC Berkeley. I would recommend using an existing  
19 longitudinal study that recruited participants before  
20 2000.

21 **DR. STELLA KOUTROS:** And what would  
22 that be exactly?

23 **DR. SHARON SAGIV:** Well because we  
24 would have more detectable values and we could

1 replicate our findings.

2 DR. STELLA KOUTROS: I didn't know if  
3 you had one in mind.

4 DR. SHARON SAGIV: Oh, no. That's the  
5 question. I mean there is this new ECHO mechanism  
6 that's going to be recruiting a lot of different  
7 cohorts, up to 50 I understand. And maybe that effort  
8 will produce a longitudinal study that started pre-  
9 2000 where we have banked cord blood or maternal  
10 samples. That's one of the criteria for recruiting  
11 that cohort. And then neurodevelopmental outcomes you  
12 know, those kids will be old enough to have some neuro  
13 outcomes.

14 DR. JAMES MCMANAMAN: Dr. Pessah?

15 DR. ISSAC PESSAH: I think these are  
16 all great ideas but from what I understand I think we  
17 have to come up with answers to the questions. And so  
18 I heard one possibility that actually could get done  
19 within -- actually if there was concerted effort and  
20 cooperation, within a month. And that is to take the  
21 banked samples that Columbia has, send them to an  
22 independent certified lab and just make the  
23 measurements again and rerun the analysis.

24 I can't imagine that the amount of



1 resources that we've poured into the modeling, and  
2 that we've poured into the epi study over the last 12  
3 years couldn't afford to just reanalyze those samples  
4 and make sure.

5 **DR. JAMES MCMANAMAN:** Okay.

6 **DR. SHARON SAGIV:** Is it that simple?  
7 Is the solution to this that simple, this replication  
8 of the existing samples?

9 **DR. JAMES MCMANAMAN:** It's a good  
10 question but can you ask that after the deliberations  
11 have ended? We'll come back to you. So, Dr. Koutros?

12 **DR. STELLA KOUTROS:** I just wanted to  
13 comment on we do not know if the investigators have  
14 existing plasma available to even do such a thing.  
15 Secondly, I don't know that the EPA should be  
16 undertaking that research or scientific effort, I  
17 don't know. And I don't know who owns these samples  
18 and whether this would be the appropriate use as  
19 determined by the investigators for those samples, if  
20 they even remain.

21 **DR. ISSAC PESSAH:** So there was a  
22 response from the analytical lab that they either  
23 returned the samples to Columbia or if Columbia did  
24 not want them they destroyed them.

1 DR. JAMES MCMANAMAN: All right. Yes,  
2 Dr. Funk?

3 DR. WILLIAM FUNK: I just wanted to  
4 comment that normally that does go in the opposite  
5 direction for studies. You analyze your samples and  
6 if you want to validate you send them to the CDC.  
7 They have a very high reputation for analyzing  
8 samples.

9 DR. MARION EHRLICH: This is Dr. Ehrlich.  
10 And nobody would have to do all of them. Even 10 or  
11 20 of these done again would strengthen the -- because  
12 all we have is this Figure 1 that doesn't even give  
13 data on any of them. It would strengthen the data. I  
14 agree with you, the CDC should have high levels and  
15 they shouldn't be worried about somebody -- but USP  
16 has really high levels too, FDA has really high. But  
17 they never said on here if this is an iso-lab. It  
18 would have been verified for that particular type  
19 assay.

20 DR. JAMES MCMANAMAN: This is Dr.  
21 McManaman. As Dr. Ehrlich pointed out, this study was  
22 not done for risk assessment initially. So the way  
23 the samples were handled is subject of some concern.  
24 Even though they may have done their best in handling

1 they you know, it wasn't done for this specific  
2 purpose. And the other is that there is -- I don't  
3 think that anyone on the panel is questioning the  
4 measurements per se.

5 But what I'm questioning, what other  
6 panel members are questioning is how can you draw  
7 conclusions -- a dose response saying that there's a  
8 linear relationship between neurological damage and  
9 levels of chlorpyrifos when the levels of detection  
10 were below the analytical levels. So it's that  
11 relationship.

12 **DR. STELLA KOUTROS:** That is not true.  
13 What you just said is not true.

14 **DR. JAMES MCMANAMAN:** Well that's you  
15 know, the analytical levels were in parts per billion  
16 and the levels measured were in parts per trillion.

17 **DR. STELLA KOUTROS:** Dr. McManaman,  
18 what percentage of the samples had values below the  
19 limit of detection in the linear regression analysis  
20 associated with prenatal exposure to chlorpyrifos and  
21 neurobehavioral outcomes in the Rauh (2011) paper?

22 **DR. DAVID JETT:** Could I just -- real  
23 quick before this thought goes away. I was struck by  
24 Dr. Lowit, something she said earlier about -- when

1 you were asked about are you basing this whole thing  
2 on one study. And I think that that's what everybody  
3 is struggling with. But isn't that not true? Because  
4 I think that we have to look at this as a piece of a  
5 larger picture.

6 **DR. JAMES MCMANAMAN:** You can't ask Dr.  
7 Holman **[off microphone]** the panel.

8 **DR. DAVID JETT:** Oh. I was just, I was  
9 asking the panel. I'm sorry.

10 **DR. SHARON SAGIV:** Can I make one just  
11 additional comment?

12 **DR. JAMES MCMANAMAN:** Dr. Sagiv?

13 **DR. SHARON SAGIV:** That in my  
14 epidemiologic opinion I don't think that reanalyzing  
15 the Columbia samples would be my next step. My next  
16 step would be to look for a cohort, and they are out  
17 there. You would be shocked at what cohorts are out  
18 there, and I think ECHO will reveal this, that have  
19 pre-2000 banked samples and neuro measures or  
20 neuromeasures could be conducted on those  
21 participants. So I think it's important to do this in  
22 another study.

23 And I don't have -- this is not stating  
24 that I don't have faith in the Columbia study. This

1 is my reservation about using one epidemiologic study.  
2 And I just feel that in order to use a point of  
3 departure based on cord blood I would feel more  
4 confident with having another epi study conducted. So  
5 I don't think that reanalyzing Columbia samples is --  
6 I mean maybe if you did like Dr. Ehrich said, maybe  
7 10, fine, but I don't know that that's the best use of  
8 resources.

9 **DR. ISAAC PESSAH:** Isaac Pessah. I  
10 absolutely agree with you. I was just trying to come  
11 to a consensus.

12 **DR. JAMES MCMANAMAN:** All right. Dr.  
13 Carr?

14 **DR. RUSSELL CARR:** I also think -- this  
15 is something I just thought of, that maybe we could --  
16 you have 71 to 72 pregnant mothers who wore the  
17 backpacks that you have air samples from and that's  
18 data that you have and you have the behavioral data.  
19 Maybe it's possible to look at it using that. Because  
20 there you would have a range of chlorpyrifos and a  
21 range of Diazanone and you could have the range of how  
22 the neurological outcomes fall within those. Because  
23 those are actually measurements of what was in the  
24 household.

1                   **DR. STELLA KOUTROS:** We have  
2 measurements of what was in the blood too. I don't  
3 know how it's different than what you're proposing.

4                   **DR. JAMES MCMANAMAN:** All right. Well  
5 I think this horse has been beaten to death. And  
6 unfortunately I'm certain that we can't give you a  
7 consensus answer to that question. I hope that we've  
8 given you any kind of insight. So I will go back to  
9 the agency and you can ask for clarifications.

10                  **DR. ANNA LOWIT:** Given the importance  
11 of this question would it be possible for us to ask  
12 clarifying questions after lunch and let the team get  
13 together and think about what those might be to be  
14 most useful?

15                  **DR. JAMES MCMANAMAN:** That would be  
16 fine. So we'll break for lunch. How long will you  
17 need?

18                  **DR. ANNA LOWIT:** We've been at this  
19 along time. I don't know if 30 minutes is going to  
20 help.

21                  **DR. JAMES MCMANAMAN:** Will an hour be  
22 sufficient?

23                  **DR. ANNA LOWIT:** Sure, an hour.

24                  **DR. JAMES MCMANAMAN:** Yeah. Several

1 years? Try to get started as soon as we can get all  
2 the members. Hope the agency has had a chance to get  
3 nourishment and I know the panel was happy to get  
4 their nourishment. Okay. So as we left it, we asked  
5 the agency if the panel's deliberations were clear and  
6 you were coming back to us with clarifications.

7 **MS. DANA VOGEL:** Hi. This is Dana  
8 Vogel. Dr. Lowit will be out for a few hours but  
9 she'll be back. So I'm standing in right now. Just  
10 one thing in the vein of clarification. First, we'd  
11 like to thank you for all the thoughtful discussion  
12 this morning. We recognize these aren't easy  
13 questions to answer. We've grappled with a lot of  
14 similar issues that you're talking about over the past  
15 decade and as you have noted in this current paper as  
16 well. And a lot of what your discussions are echoes  
17 what we've struggled with and what we've grappled  
18 with.

19 So what would be most helpful to us is  
20 if it seems -- I guess from our perspective if a  
21 consensus cannot be reached, if a synthesis of the  
22 common themes that the panel has come up with could be  
23 written in the report as well as the range of the  
24 divergent opinions. That would be most helpful for us

1 in that synthesis of ideas and how we go forward and  
2 make our science-based regulatory decision.

3 **DR. JAMES MCMANAMAN:** Okay. Is that  
4 clear to the panel then that we should emphasize where  
5 there's agreement and where there's disagreement and  
6 the reasons why in detail. Okay. So we can move on  
7 then to Charge Question 5.b.

8 **DR. ELIZABETH HOLMAN:** Beth Holman,  
9 EPA. Question 5.b., Point of Departure Options. From  
10 the Columbia publications, there are two general  
11 options that EPA has considered for deriving a PoD for  
12 extrapolating risk to chlorpyrifos: 1) Lower limit of  
13 the top tertile greater than 6.17 pg/g cord in blood  
14 derived from Rauh et al (2006) and repeated in other  
15 Columbia publications; or 2) Benchmark Dose estimates  
16 derived from linear regression reported in Rauh et al  
17 (2011) for deficits in Working Memory.

18 Rauh et al (2011) reported that for  
19 each standard deviation increase in exposure 4.61 pg/g  
20 there is a 1.4 percent reduction in Full-Scale IQ and  
21 a 2.8 percent reduction in Working Memory. The agency  
22 has decided to use the BMD approach. Please comment  
23 on the PoD options considered by agency.

24 **DR. JAMES MCMANAMAN:** Thank you. So



1 the discussants on this question are Carr, Funk,  
2 Pessah, Sweeney, and Terry. Dr. Carr is the lead  
3 discussant.

4 **DR. RUSSELL CARR:** These are the  
5 summarized comments of the associate discussants and  
6 myself. And I'll read them and if they have anything  
7 to add they can. If the agency were to decide to use  
8 cord blood chlorpyrifos to determine PoD the agency's  
9 decision to use BMD derived from linear regression for  
10 deficits in Working Memory is valid. This method has  
11 been developed, reviewed, and vetted previously for  
12 methyl mercury used in multiple studies. However, in  
13 this case using the single study, neither the use of  
14 BMD or the lower limit of the top tertile greater than  
15 6.17 pg/g cord blood as a point of departure could be  
16 justified by any scientific evaluation.

17 The BMD for IQ and Working Memory is  
18 directly related to the cord blood values. And the  
19 same concerns as noted above in Charge 5 and Charge 2  
20 related to the uncertainties relying on cord blood  
21 levels of chlorpyrifos must be considered. And there  
22 were additional comments. The high low  
23 dichotomization in the analysis is not satisfying and  
24 the differences in interpretation when the non-detect,

1 low, mid, and high-range groups are compared is also  
2 cause for concern when considering the Rauh (2006) as  
3 a possible key study.

4 For the point of departure based on  
5 Working Memory index there is no discussion of the  
6 biological or functional significance of any benchmark  
7 level of Working Memory index reduction. No  
8 precedence in IRIS for use of Working Memory index was  
9 found by the panel members. As indicated in the 2012  
10 SAP report, the environment in which the exposure  
11 occurred contained nine to 10 organophosphate  
12 insecticides and five carbamates. In air samples in  
13 Wyatt et al (2002) Diazanone was found in every sample  
14 and was 7.5 fold higher than chlorpyrifos, which was  
15 also found in every sample.

16 The differences if you compared just  
17 the high dosages could be as much as 31 fold higher.  
18 Diazanone is not as lipophilic as chlorpyrifos so it's  
19 not surprising that it only appeared in 50 percent of  
20 the umbilical blood samples as compared to 75 for  
21 chlorpyrifos. However, the greater levels of the  
22 Diazanone present in the pregnancy suggest the concept  
23 of mixtures and additivity. When an individual is  
24 exposed to two or more chemicals that possess the same

1 mechanism of action, the resulting toxicological  
2 outcome will be greater than if the individual was  
3 exposed to only one of those chemicals alone.

4           Following exposure to such a mixture it  
5 would be impossible to separate the independent  
6 effects of each chemical on a neurochemical or  
7 behavioral outcome, regardless of the model used. As  
8 I was stating earlier, if we could just possibly use  
9 the air sampling data. We know who those mothers are,  
10 they were pregnant, and if we had neurobehavioral data  
11 on those kids maybe it could be used as a confirmation  
12 of sorts on the presence of chlorpyrifos on  
13 neurobehavioral outcomes.

14           In addition, the environment of the  
15 pregnant mothers also continued multiple chemicals  
16 that have been demonstrated by the CCCEH to effect the  
17 same parameters, mainly Bayley scores and IQ that  
18 chlorpyrifos has been demonstrated to do. And those  
19 effects of different chemicals were all found in the  
20 same cohort of the 725 children.

21           **DR. JAMES MCMANAMAN:** Thank you, Dr.  
22 Carr. Dr. Funk?

23           **DR. WILLIAM FUNK:** Bill Funk. I agree  
24 with everything that Dr. Carr said. He summarized a

1 lot of our statements in that we agree with the  
2 decision to use the BMD approach.

3 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
4 Pessah?

5 **DR. ISAAC PESSAH:** I agree with Dr.  
6 Carr's summary.

7 **DR. MCMANAMAN:** Dr. Sweeney?

8 **DR. LISA SWEENEY:** In addition, I would  
9 like to note that perhaps different shapes of dose  
10 response could be fit to the cord blood data, although  
11 there are certainly concerns about how well we know  
12 what the concentrations really are. But in any dose  
13 response data fitting more than one shape would be  
14 helpful. And that's more the standard in the NIRUS  
15 type assessment where they use a suite of dose  
16 response models to consider the data. Dale Haddis'  
17 2014 evaluation of the data on page 105 they assumed a  
18 linear response at lower doses and then a saturating  
19 effect.

20 When I look at the data it's not  
21 intuitively obvious that this is better than a strict  
22 linear. But I think having 321 points in their data  
23 analysis reduced to nine points with y error bars and  
24 missing x error bars it's a little hard to tell. If

1 more of the original data could be recovered a more  
2 rigorous dose response analysis with a suite of models  
3 could possibly be conducted.

4 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
5 Sweeney. Dr. Terry?

6 **DR. ALVIN TERRY:** I believe that Dr.  
7 Carr covered all of my comments. Thank you.

8 **DR. JAMES MCMANAMAN:** Okay. This  
9 charge question is now open for comments from the  
10 entire panel. Dr. Pependorf?

11 **DR. WILLIAM POPENDORF:** Yes. I just  
12 had a few other comments. One, looking at the options  
13 and just remind us that in Charge Question 1 we  
14 recommended looking at the maternal blood data. Of  
15 course to do that would require access to the original  
16 data that we don't have. Again a reminder about the  
17 influence of the delivery time and the influence on  
18 the correlations being proposed to use. Two technical  
19 things on the way they did their calculations. One,  
20 was I think the way they used their average standard  
21 deviation of the slope and the confidence interval of  
22 the regression is a function of the x-axis basically.

23 So the average confidence interval is  
24 not you know, it gets bigger as you go higher and

1 smaller as you go lower. So you're overestimating and  
2 using a larger confidence interval than would be  
3 appropriate for the very low doses that you're trying  
4 to evaluate. So I think you may be able to do a  
5 calculation with the information you have but you  
6 shouldn't use the confidence interval that you're  
7 starting with. And then the other, I'm not 100  
8 percent sure exactly how you're doing that  
9 calculation.

10 But I think -- you know that saying  
11 some people use a lamp post the way a drunk uses --  
12 some people use statistics the way a drunk uses a lamp  
13 post, more for support than elimination. I actually  
14 looked that one up. It actually goes back to a quote  
15 by an Andrew Lang in 1910 and the someone was  
16 politicians. So 100 years ago it's still the same  
17 issues. That wasn't even in the U.S. for that matter.  
18 Anyway, we're kind of doing both. and personally I use  
19 both. I use statistics more for support than  
20 elimination but there are some differences.

21 And I think you actually would end up -  
22 - when you try to find out what the variability is of  
23 the dose, or the exposure in your terminology, that  
24 you want to use -- because you're going to go to your

1 two percent effect and then back calculate to the 95  
2 percent confidence interval, you're going to use that  
3 confidence limit. And you actually get a different  
4 slope and confidence intervals if you regress y  
5 against x as compared to x against y. It's not a  
6 simple algebraic transformation. So there's going to  
7 be some differences there as well.

8 That one I think you actually would  
9 need data for. So you're making an approximation by  
10 doing what you're doing. But I think you can get a  
11 different confidence interval in the range. If you  
12 want to use 2.8 percent effect you'd want a different  
13 confidence interval in that range.

14 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
15 Popendorf. Dr. Hayton?

16 **DR. WILLIAM HAYTON:** Thanks. Bill  
17 Hayton. Just to get a little more information perhaps  
18 from Dr. Carr. You mentioned something about backpack  
19 air sampling and that would be a way to get around  
20 confounding exposures to other chemicals? Or am I  
21 totally missing that?

22 **DR. RUSSELL CARR:** I don't think  
23 necessarily it would be a way to get around  
24 confounding exposure to other variables. But I'm just

1 saying that they have data varying the amount of  
2 chlorpyrifos and varying the amount of Diazanone that  
3 certain mothers were exposed to and those mothers were  
4 71 or 72 mothers that were in the cohort. And if they  
5 had matching neurobehavioral data maybe we could just  
6 look at how the residue analysis of exposure during  
7 pregnancy compares to the neurobehavioral outcomes.

8 There were other things in there that  
9 backpacks didn't pick up, but those were just two of  
10 the most prominent things that were in everything.

11 **DR. JAMES MCMANAMAN:** So instead of  
12 what's being done now is just using some kind of  
13 exposure modeling assessment. Is that the point? I  
14 mean you'd actually measure -- you'd have measured  
15 exposures versus hypothetical?

16 **DR. RUSSELL CARR:** From my  
17 understanding that is correct. Those are actually  
18 measured exposures. Now I'm not sure about the  
19 timing. I think the timing varied from six weeks to  
20 one month prior to birth. It's in the Wyatt (2002)  
21 paper. But as far as those two chemicals, I know  
22 chlorpyrifos was found everywhere and Diazanone was  
23 found everywhere.

24 **DR. JAMES MCMANAMAN:** Yes, Dr. Sagiv?



1                   **DR. SHARON SAGIV:** I think that the  
2 power for that study would be very low. I mean you'd  
3 have to see what the range of exposure was but an N of  
4 77 to look at those tests I think would be  
5 underpowered.

6                   **DR. RUSSELL:** I agree but it's all we  
7 got. I mean I'm just trying to get some  
8 conformational type support for or against.

9                   **DR. SHARON SAGIV:** Yeah. My guess is  
10 that it would muddy the waters more than clear them.

11                   **DR. JAMES MCMANAMAN:** Yes, Dr. Rohlman?

12                   **DR. DIANE ROHLMAN:** Diane Rohlman. I  
13 agree that it's nice to have confirmation evidence and  
14 certainly that's one way to do it. But I would  
15 caution, just because it's in the air, we really want  
16 to make sure what gets in the body and the cord blood  
17 helps to move us closer to that. So just be mindful  
18 of the types of exposure and metrics that we're  
19 combining. But again, for confirmation purposes that  
20 could be appropriate.

21                   **DR. JAMES MCMANAMAN:** Yes, Dr. Sweeney?

22                   **DR. LISA SWEENEY:** Depending on the  
23 sensitivity of the methods you might not have as large  
24 of a percentage of non-detects as you do in the cord

1 blood studies. So it's possible that you might get a  
2 little more information about the lower exposures if  
3 you were actually able to quantify them in air. So  
4 there could be advantages of -- plus in a sense  
5 misclassification because you just don't know where to  
6 draw the line on non-detects. You might have  
7 continuous data for a larger fraction of your  
8 population even though, as you know, there would be a  
9 smaller group.

10 **DR. JAMES MCMANAMAN:** Thank you. Other  
11 comments? Okay. Back to the agency.

12 **MS. DANA VOGEL:** No clarifying  
13 questions. Jeff, do you have anything?

14 **DR. JAMES MCMANAMAN:** Okay. We'll move  
15 on to Charge Question 5.c.

16 **DR. ELIZABETH HOLMAN:** Beth Holman,  
17 EPA. Question 5.c., Agency's Proposal for the Point  
18 of Departure. The agency proposal applies the BMD  
19 approach to the Rauh et al (2011) study, and the  
20 agency has selected a two percent change in Working  
21 Memory or an internal dose of 2.16 pg/g as the point  
22 of departure. This agency proposed value is  
23 quantitatively near the value reported by Rauh, 2.8  
24 percent reduction in Working Memory, and thus

1 supported by the existing data, but is still health  
2 protective and conservative.

3 Please comment on the  
4 analysis/calculations used to derive these estimates  
5 as described in Appendix 6 and the selection of a two  
6 percent response level.

7 **DR. JAMES MCMANAMAN:** Thank you. The  
8 discussants on this are doctors Carr, Pessah, Sweeney,  
9 and Terry. Dr. Carr is the lead discussant.

10 **DR. RUSSELL CARR:** The discussants  
11 focus were mainly more concerned with the two percent  
12 response level. The analysis calculations basically  
13 used to derive these estimates are from the model.  
14 And I don't think we have any issues with those  
15 calculations or the procedures used to derive those  
16 estimates. As noted in the response to Charge  
17 Question 5.b., a two percent response level is of  
18 questionable biological significance. A two percent  
19 change in Working Memory would likely be much lower  
20 than one standard deviation in any population of  
21 participants in a behavioral study.

22 Basically, going along with 5.a. and  
23 5.b., the panel's current opinion is the agency has  
24 provided insufficient justification for this policy

1 choice.

2 DR. JAMES MCMANAMAN: Okay. Thank you,  
3 Dr. Carr. Dr. Pessah?

4 DR. ISAAC PESSAH: Again, I think that  
5 one could make an argument for a two percent change,  
6 especially at the tails. But at the mean I think,  
7 again, one would probably have to question the  
8 significance of that small change.

9 DR. JAMES MCMANAMAN: Thank you, Dr.  
10 Pessah. Dr. Sweeney?

11 DR. LISA SWEENEY: I concur. Nothing  
12 to add.

13 DR. JAMES MCMANAMAN: Thank you, Dr.  
14 Sweeney. Dr. Terry?

15 DR. ALVIN TERRY: Same here.

16 DR. JAMES MCMANAMAN: Okay. This is  
17 now open to the panel. Dr. Sagiv?

18 DR. SHARON SAGIV: You knew I was going  
19 to respond to this. So this is Sharon Sagiv from UC  
20 Berkeley. So this is something we discussed yesterday  
21 a couple of times. And I wanted to make it clear, Dr.  
22 Pessah alluded to this, but I wanted to make sure that  
23 it was understood the impact of a two percent change  
24 in IQ. For a single individual I agree -- say we're

1 looking at two IQ points, for a single individual  
2 moving down two IQ points doesn't sound like a -- I  
3 wouldn't think it would be such a big deal. On a  
4 population level, going down on a population level two  
5 points is a very different thing.

6 If you think about a normal  
7 distribution of IQ scores, and here is the bell shaped  
8 curve, if you were to shift that curve over, think  
9 about the tails. So if you have five percent of  
10 people falling under intellectually impaired in normal  
11 distribution, you shift that distribution over by two  
12 points, you can have 10 percent of people in that  
13 tail. That is a big deal. So on a population level  
14 going two IQ points is a big deal. And there is a lot  
15 of supporting evidence. I would refer you to David  
16 Bellinger's paper.

17 He's written at length about this in a  
18 few different papers. That's David Bellinger at  
19 Harvard School of Public Health and Children's  
20 Hospital Boston. I just want to make sure that's  
21 clear. That two IQ points in a population level is an  
22 important thing.

23 **DR. DAVID JETT:** And that's for lead,  
24 not chlorpyrifos.

1                   **DR. SHARON SAGIV:** Yes. But it's for  
2 lead in IQ. And they have found with lead decrements  
3 in IQ points and it's, I think, very transferable to  
4 this question. So IQ is different than --

5                   **DR. DAVID JETT:** No. I'm just  
6 clarifying this so they won't think that this is a  
7 chlorpyrifos paper.

8                   **DR. SHARON SAGIV:** Yes, yes. These are  
9 lead studies. Sorry, yes but the principle applies.

10                  **DR. JAMES MCMANAMAN:** Dr. Rohlman did  
11 you -- oh, sorry. Yes?

12                  **DR. STELLA KOUTROS:** This is Stella  
13 Koutros. I agree with the panel members that the  
14 methods used to calculate the estimates were  
15 appropriate. I disagree with the other discussants  
16 about the lack of their utility for our purposes. And  
17 I will elaborate in my written comments. And I think  
18 that using the two percent change is entirely  
19 appropriate because it is derived from the human data  
20 in the Columbia study.

21                  **DR. JAMES MCMANAMAN:** Dr. Terry?

22                  **DR. ALVIN TERRY:** So I'd like to ask a  
23 question about this analogy about the bell shaped  
24 curve. Because the Columbia data set that I've seen

1 doesn't have a normal distribution, most of those  
2 values are skewed to the left. So just for  
3 clarification, how do you grapple with that?

4 **DR. SHARON SAGIV:** IQ scores in general  
5 are normally distributed with a mean of 100 and a  
6 standard deviation of 15. This is Working Memory  
7 Index so it's not exactly IQ. It's a subscale of the  
8 Full-Scale IQ and it has a mean of 10 and a standard  
9 deviation of three. I think that's what we  
10 established yesterday. And what I'm hearing from you  
11 is that the Columbia population may have fell in the  
12 lower end of, maybe a mean score IQ or Working Memory  
13 Index that's below the norm, correct?

14 However, that should still be normally  
15 distributed in that population. And I don't know,  
16 they didn't necessarily give us that data, but that's  
17 usually the assumption, that those scores are normally  
18 distributed within the population even if they are  
19 maybe skewed a little bit to the left. So maybe your  
20 mean would be a mean of 95 instead of 100 but you  
21 still move the population mean two IQ points down,  
22 that's still the same principle, you're moving the  
23 distribution down an IQ. And maybe their IQ is lower  
24 because of chlorpyrifos.

1 I'm just kidding. But the same  
2 principle would apply is what I'm saying.

3 **DR. ALVIN TERRY:** And I hope I'm not  
4 conflating two different things. But when you look at  
5 the data set where the extrapolations were made and  
6 you see either the Working Memory score or the IQ on  
7 the left and the concentration of chlorpyrifos on the  
8 right, there's so many values to the left. And then  
9 as you go higher in concentration there are very few  
10 values, it's like 80 percent to the left and 20  
11 percent to the right. So then there's this fit. And  
12 then if you look up and down the x-axis there's a  
13 really wide range of that Working Memory score. So  
14 that's where I get confused.

15 **DR. SHARON SAGIV:** And you would expect  
16 a range in IQ scores. The fact that there is more  
17 sparse data at the higher end of the exposure  
18 distribution has no bearing on the fact that you still  
19 have a range of IQ scores that probably approximates  
20 normal distribution. I mean there are some neuro  
21 outcomes that are not normally distributed but IQ is  
22 not one of those outcomes.

23 **DR. ALVIN TERRY:** Part of these  
24 numbers, like a 2.8 percent change or something, come



1 from an extrapolation (inaudible) [off microphone]  
2 that's driving. Is that not right?

3 **DR. SHARON SAGIV:** I think that what  
4 they're doing is that when you look at the effect of  
5 moving one standard deviation away that that's what  
6 the change is related to. So it's not extrapolation,  
7 it's the statistical measure. And I'll defer to my  
8 epi friends to say that in more technical terms.

9 **DR. DIANE ROHLMAN:** Yeah. I think that  
10 Dr. Terry, what you're describing is what the  
11 statistical approaches that we are offered allow us to  
12 estimate these changes.

13 **DR. WILLIAM POPENDORF:** Excuse me, Will  
14 Pependorf. I'm wondering, Dr. Terry, when you're  
15 saying skewed to the left, literally you're referring  
16 to the x-axis and they're talking about the y-axis.  
17 It's the distribution of the behavioral scores, the  
18 Working Memory. And that, if you look at it from the  
19 y-axis, it is bell shaped, just like it should be.

20 **DR. STELLA KOUTROS:** This is Dr. Stella  
21 Koutros. I think that Dr. Terry was thinking about  
22 both. And that his question is really just rooted in  
23 the statistical models used for the conduct of this  
24 epidemiologic study. And perhaps a statistician would

1 be better suited to explain the merits of those to  
2 you.

3 **DR. JAMES MCMANAMAN:** Okay. This is  
4 Dr. McManaman. So I need some help with clarifying  
5 this then too. So the choice of the two percent  
6 response level was not based on the slope of the line  
7 related to the Working Memory and chlorpyrifos levels.  
8 Because the graph that I see looks like that there is  
9 more variability at the lowest level of chlorpyrifos  
10 than there is at the higher levels. And if the slope  
11 of that line is a basis for a LOD or a decision about  
12 what should be allowed then I have some question about  
13 the relevance of that line or the reliability of that  
14 line or the accuracy of that line actually.

15 Is the line drawn correctly? Maybe I  
16 don't even need to worry about the line. But that's  
17 where --

18 **DR. SHARON SAGIV:** The line is a  
19 spline. So it doesn't impose a linear association on  
20 the data. I think that the representation of a spline  
21 here was very appropriate for that reason. Because if  
22 you just do a linear regression and you represent the  
23 line from the linear regression, you're imposing a  
24 linear relationship between your exposure and outcome.

1 DR. JAMES MCMANAMAN: Dr. Sweeney?

2 DR. LISA SWEENEY: But the spline was  
3 not used to derive that, the linear regression was  
4 what was used to derive the slope that's used for the  
5 RFD.

6 DR. SHARON SAGIV: Yes. Though it's  
7 showing that --

8 DR. LISA SWEENEY: You can't actually  
9 have a plot of the regression used to derive the RFD  
10 but we can sort of imagine what it would be like.

11 DR. SHARON SAGIV: From the spline.  
12 It's basically showing you that it's pretty linear but  
13 it's going the extra step of not assuming linearity.  
14 They wanted to say this looks pretty linear but we're  
15 going to show you with a spline that it looks linear.

16 DR. STELLA KOUTROS: Dr. McManaman, I  
17 understand what you're asking. You are fundamentally  
18 asking how linear regression works given the exposure  
19 information we have and the outcome data that we have  
20 and how the slope is derived in the linear regression.  
21 It's associated standard error and 95 percent  
22 confidence interval which is very easily back  
23 calculated from one or the other. And so perhaps then  
24 you're interested in a discussion about how the linear

1 regression allows us to estimate the slope given the  
2 data that we have.

3 **DR. JAMES MCMANAMAN:** No. I know how a  
4 linear regression is derived. It's in the  
5 interpretation of this. Because if you were to  
6 eliminate some of the points on the lowest end then  
7 that would change the slope entirely, it would change  
8 the point of departure, I guess, for how to use that  
9 information. Is that not correct?

10 **DR. STELLA KOUTROS:** Yes. If you  
11 remove some of the data the results will change.

12 **DR. SHARON SAGIV:** Yeah. I don't think  
13 I understand, what do you mean by eliminate some of  
14 the --

15 **DR. JAMES MCMANAMAN:** Well, so the  
16 greatest variability in Working Memory is at the zero  
17 level of chlorpyrifos. So if that --

18 **DR. SHARON SAGIV:** It might be that  
19 there's the most data there. I think that when you  
20 get to more sparse data it's hard to see variability  
21 because you have more sparse data.

22 **DR. JAMES MCMANAMAN:** So then that goes  
23 back to the reliability of that line. What does it  
24 mean? I mean if we're only limited to that data but

1 that data's really not reflective of reality then I  
2 don't know how to deal with it.

3 **DR. SHARON SAGIV:** Well that's why we  
4 do our regressions. And that is maybe a good reason  
5 why they decided to eliminate those two points that  
6 were way above the distribution. Because as you get  
7 to higher values, you get to more sparse data, and the  
8 model doesn't fit quite as well at the high, high  
9 levels. So you use the data from the entire  
10 distribution to generate a regression coefficient and  
11 generate the spline, knowing that you're going to be  
12 doing a worse job of that, which the confidence  
13 intervals reflect, at the higher end of the exposure  
14 distribution because you have less data there.

15 **DR. JAMES MCMANAMAN:** Okay. Dr.  
16 Sweeney?

17 **DR. LISA SWEENEY:** Dr. Haddis used some  
18 of the same data to develop another curve that uses a  
19 lot of the same data. But it uses a standard error  
20 rather than standard deviation. It's on page 105 in  
21 his comments. And other than the non-detect group,  
22 they're in equal size groups of 22. So you can  
23 compare the standard error bars and you know, ignoring  
24 the non-detect group which is larger, the error bars

1 are -- I wouldn't say there's any particular trend on  
2 the size of the error bars for the eight groups where  
3 there were detections.

4 I'd have to do some math to try to  
5 figure out whether or not the error bar on the larger  
6 group of non-detects is similar in size. But at any  
7 rate, it seems like the data that are closer to the  
8 end of the x-axis, the left data, are going to control  
9 the intercept much more than they're going to control  
10 the slope. It's going to be the data that are further  
11 to the right, the larger x values that are going to  
12 control the slope, not so much the non-detect data.

13 **DR. JAMES MCMANAMAN:** Okay. Any other  
14 comments?

15 **DR. WILLIAM POPENDORF:** Bill Popendorf.  
16 And I kind of thought the same thing. And I had to  
17 really go back and think about it and I think I've got  
18 a little -- if more clarification is needed I can  
19 explain I think in a little different way, maybe a  
20 little clearer way. If you go back to the other  
21 slide, Fred, the regression line is trying to explain  
22 the most effect of the x-axis on the y-axis. And the  
23 R-squared is basically the fraction of the variance of  
24 the y-axis explained by the x-axis.

1                   And as a result, the confidence  
2 interval does not reflect the variability in the data,  
3 it's the confidence of the regression line itself.  
4 And so that gets smaller as you approach the intercept  
5 and bigger as you're trying to predict the influence  
6 of the x. So the linear regression would have  
7 something similar to that except it would be straight  
8 lines instead of curved lines.

9                   **DR. DIANE ROHLMAN:** Diane Rohlman. I  
10 don't agree with that. I think we're -- I don't know  
11 where we're going with this but if you have concerns  
12 about this model I would encourage us to consult with  
13 a biostatistician. This you know, it's not -- go  
14 ahead.

15                   **DR. SHARON SAGIV:** I want to be clear  
16 about this. The confidence interval around that line  
17 is not the confidence interval from a linear  
18 regression, it's a confidence interval from a spline.  
19 A confidence interval from a linear regression will be  
20 completely parallel from a linear regression. Well,  
21 it might not be but it's not a linear regression.

22                   **DR. WILLIAM POPENDORF:** Yeah. It's  
23 influenced by the confidence of the intercept and the  
24 slope itself. And they're somewhat independent.

1                   **DR. SHARON SAGIV:** But from a linear  
2 regression you'll be getting one beta coefficient and  
3 one confidence interval.

4                   **DR. WILLIAM POPENDORF:** Right. Well,  
5 no. I mean one --

6                   **DR. SHARON SAGIV:** Well, if you do the  
7 predicted probabilities -- are you talking if you  
8 plotted the predicted probabilities for each of those  
9 points that you would get --

10                   **DR. WILLIAM POPENDORF:** You could do  
11 that or just looking at the -- if  $Y$  equals  $a + bX$   
12 and there's a confidence interval in  $A$ , and a  
13 confidence interval in  $X$ , if you look where  $X$  equals  
14 zero you're going to get a different confidence  
15 interval than you're going to get for any value of  $X$   
16 greater than zero. So they're not equal. It would  
17 look like this except that it would be straight  
18 instead of curvy.

19                   **DR. SHARON SAGIV:** Okay. But this  
20 confidence interval is not for a linear regression.

21                   **DR. WILLIAM POPENDORF:** It would be a  
22 similar pattern but they'd all be straight lines not a  
23 spline. Yeah. It wouldn't look much different. I'm  
24 saying it's still going to look kind of like that but



1 you wouldn't expect the confidence interval near the  
2 x-axis to expand out to match the data that's  
3 obviously dispersed at that point.

4 **DR. SHARON SAGIV:** But if you were to  
5 look at splines for other data they usually look  
6 similar to this. That when you get to the higher end  
7 of the exposure range where there is more sparse data  
8 the spline confidence intervals will increase, the  
9 widths of them will increase at that point.

10 **DR. WILLIAM POPENDORF:** I think that  
11 would even be true if there were more data out there.  
12 It would be less variance but it still would probably  
13 be -- well, it depends on the R-squared value, I'll  
14 bet. If the R-squared is one then -- well, look at  
15 the extremes.

16 **DR. JAMES MCMANAMAN:** So I don't know  
17 that -- did we help any? It helped me but I think  
18 that we went off on a tangent a little. Sorry.

19 **DR. SHARON SAGIV:** We did.

20 **DR. WILLIAM POPENDORF:** Yeah. If it  
21 helps, but if it doesn't --

22 **DR. SHARON SAGIV:** We have to figure  
23 out what that number's going to be and there seems to  
24 be low confidence in that number for some people.

1 DR. JAMES MCMANAMAN: Yes. Okay.

2 Other comments, discussion? Dr. Pessah?

3 DR. ISAAC PESSAH: I promise it's not a  
4 statistical question. I just was wondering, is this  
5 common to have so many zero values in an exposure type  
6 of study?

7 DR. SHARON SAGIV: Yes.

8 DR. ISAAC PESSAH: I mean 80 percent of  
9 --

10 DR. SHARON SAGIV: It depends on the  
11 exposure, yeah. Usually you have a lot of people that  
12 fall either in the non-detects or pretty low.

13 DR. JAMES MCMANAMAN: Dr. Carr?

14 DR. RUSSELL CARR: Sharon, you made the  
15 comment that the reason they may have eliminated those  
16 two points is they didn't fit the model or they -- I'm  
17 not sure exactly what the justification for  
18 eliminating data is unless you do outlier tests.  
19 Because I mean if I look at the data, on just their  
20 data presented, the very highest exposure level has an  
21 average IQ. And if I look at that entire set of data,  
22 I see one guy down there at the bottom that could  
23 possibly be an outlier just looking at the rest of the  
24 population.

1                   And I don't understand how you can pick  
2                   your really highest exposure, which should be your one  
3                   of your most important, to establish a dose response  
4                   relationship. But they eliminated that value. I mean  
5                   I'm having a little issue just coming from the lab  
6                   science down into you know, trying to relate to how it  
7                   relates.

8                   **DR. SHARON SAGIV:** Yeah. That wouldn't  
9                   necessarily be an outlier, that point, because it's  
10                  falling within where most of the data is. But it does  
11                  seem like it's a bit of a -- it might be an  
12                  influential variable or observation because it's  
13                  certainly far away from that regression line. And  
14                  splines are you know, they will be influenced by those  
15                  kinds of points. To get back to your original  
16                  comment, they got rid of the two that fell way above  
17                  25 because I think they said they made the model  
18                  unstable. And I guess it's possible if you have -- I  
19                  mean it's double the highest value here. I think it  
20                  was 63.

21                  **DR. RUSSELL CARR:** It was 63.

22                  **DR. SHARON SAGIV:** I mean it's really  
23                  high.

24                  **DR. RUSSELL CARR:** So then basically if

1 it was say, up at 4.6, just hypothetically --

2 DR. SHARON SAGIV: What was up at 4.6?

3 That dot was up at 4.6

4 DR. RUSSELL CARR: Say that 63 value  
5 was a little bit elevated.

6 DR. SHARON SAGIV: Oh.

7 DR. RUSSELL CARR: Or if it's a little  
8 bit down it was have dropped it, made the model go  
9 steeper down. If it was up a little bit it would have  
10 changed the trajectory of that slide.

11 DR. SHARON SAGIV: It could have, yeah.

12 DR. RUSSELL CARR: Okay.

13 DR. JAMES MCMANAMAN: So again are we  
14 talking about limited amounts of data, trying to  
15 extrapolate, or is this sufficient?

16 DR. SHARON SAGIV: No. Well I think  
17 it was appropriate for them to omit those two points.  
18 I mean I'd question them quite heavily in their  
19 influence on the spline. What I would have done is I  
20 would have compared it with and without those points  
21 and hopefully it wouldn't have changed. But if it had  
22 I would have had a lot of pause. Especially if it  
23 made the slope steeper and suggested more of an  
24 effect. I would have said that's really not the most

1 conservative way to present these data.

2 Especially because they're so -- I mean  
3 epidemiologists are always trying to find alternative  
4 explanations for their results. That's kind of what  
5 we're taught when we do epidemiology. So as standard  
6 of practice I think if you do have values that are  
7 really high that are influencing unduly the slope, I  
8 think omitting them was the right thing to do. But we  
9 don't know what they did because they didn't say.

10 **DR. JAMES MCMANAMAN:** Okay. Everybody  
11 clear on the statistics here? All right. Okay. So I  
12 will then go back to the agency.

13 **MS. DANA VOGEL:** I'm just going to  
14 summarize what I think I heard. The panel agreed with  
15 the methods used for calculation but not necessarily -  
16 - there are some differing opinions on whether or not  
17 the two percent -- on the two percent. I thought  
18 that's what I heard. I was just trying to summarize.

19 **DR. RUSSELL CARR:** The calculations are  
20 fine. I mean that's just basically good model  
21 calculations. But there are differing opinions on the  
22 two percent.

23 **MS. DANA VOGEL:** On the two percent.  
24 Right. Okay.

1 DR. JAMES MCMANAMAN: Okay. Next  
2 charge question, Charge Question 6.a.

3 DR. ELIZABETH HOLMAN: Beth Holman,  
4 EPA. Question 6 - Assessing Extrapolation/Uncertainty  
5 (see Section 8). In typical risk assessments, point  
6 of departures are derived directly from laboratory  
7 animal studies and inter- and intra-species  
8 extrapolation is accomplished by the use of default  
9 10X factors. In the case of chlorpyrifos, the  
10 proposed PoDs are derived from human information  
11 obviating the need for the inter-species  
12 extrapolation.

13 However, the agency still needs to  
14 consider intra-species extrapolation of the PoD from  
15 the Columbia epidemiology data across the diverse  
16 human population (see Section 8.1). Moreover, the  
17 agency must consider the statutory requirement of the  
18 FQPA 10X Safety Factor for "potential pre- and  
19 postnatal toxicity and completeness of data with  
20 respect to exposure and toxicity to infants and  
21 children" (see Section 8.2).

22 Question 6.a., Intra-species  
23 Extrapolation. For chlorpyrifos, the agency proposed  
24 to use a 10X intra-species extrapolation factor. This

1 10X, apportioned equally between 3X for PK variability  
2 and 3X for PD variability is consistent with that used  
3 previously by the EPA IRIS program for methyl mercury  
4 (see Appendix 8). Please comment on the agency's  
5 scientific rationale of the proposed use of a 10X  
6 intra-species extrapolation factor.

7 **DR. JAMES MCMANAMAN:** Thank you. The  
8 discussants on this are doctors Jett, Funk, Koutros,  
9 Rohlman, and Sobrian. Dr. Jett is the lead  
10 discussant.

11 **DR. DAVID JETT:** Oh, I'll do the same  
12 as I did before if you have a question. I'll read my  
13 general comments and then turn it over to our  
14 discussants. So with regard to the intra-species  
15 extrapolation you know, it's clear that many factors  
16 effect differences in population studies. And PK and  
17 PD variability is almost certain to exist and will  
18 affect the point of departure estimate.

19 And using the precedent or the  
20 methodology set by the methyl mercury study is one  
21 approach. But it may not be sufficient because of  
22 differences in the toxicology of the two chemicals,  
23 the kinds of the exposures, and the populations  
24 exposed. So it's probably a minor source of

1 uncertainty just using that methodology, but very  
2 minor. So in terms of the uncertainties, the  
3 uncertainties listed in Table 5, I think it was from  
4 the methyl mercury study again, are definitely  
5 relevant to the Columbia study.

6 And also because any given behavioral  
7 manifestation of the toxic effects of any  
8 neurodevelopmental toxicant depends on several types  
9 of nervous system damage and location of this damage,  
10 and the temporal matching of exposure to this damage.  
11 This is likely a source of uncertainty as well. And  
12 that's been covered several times now. So for that  
13 question I think that's it for me. There's a couple  
14 other things but --

15 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
16 Jett. Dr. Funk?

17 **DR. WILLIAM FUNK:** Bill Funk. I have a  
18 lot of overlap which was, Jett, what you said. So I  
19 won't go into a lot of details on that. Just I'll  
20 summarize that the agency's proposal to use 10 time  
21 intra-species extrapolation factor is reasonable, it's  
22 consistent with other methods that have been employed.  
23 And this was used with methyl mercury. And then I go  
24 through and I list a lot of things about process



1       uncertainties which you've already gone through.

2                   **DR. JAMES MCMANAMAN:** Thank you, Dr.  
3       Funk. Dr. Koutros.

4                   **DR. STELLA KOUTROS:** I have nothing to  
5       add beyond what Dr. Funk said.

6                   **DR. JAMES MCMANAMAN:** Thank you, Dr.  
7       Koutros. Dr. Rohlman?

8                   **DR. DIANE ROHLMAN:** I agree with the  
9       previous reviewers.

10                   **DR. JAMES MCMANAMAN:** Thank you, Dr.  
11       Rohlman. Dr. Sobrian.

12                   **DR. SONYA SOBRIAN:** I agree also. I  
13       have a list of uncertainties that make the use of the  
14       10X factor reasonable. But using that factor though  
15       the one issue that comes up, if you calculate what it  
16       would be it's below the level of detection of many of  
17       the assays used. So the question would be how is the  
18       agency going to regulate an exposure level that may  
19       not be able to be measured?

20                   **DR. JAMES MCMANAMAN:** Thank you, Dr.  
21       Sobrian. This question is now open to the other panel  
22       members. Yes, Dr. Hayton?

23                   **DR. WILLIAM HAYTON:** Well this is just  
24       kind of right off the top of my head. But three times

1 for pharmacokinetics, is the idea there that if you  
2 give the same dose to a population of people that the  
3 exposure, say the area under the curve, would fall  
4 within a range of three? Because if that's the  
5 thought there I think that's -- experience would show  
6 with drugs anyway that you would get a much bigger  
7 range of exposures for pharmacokinetics.

8 And then if you add a particular plasma  
9 concentration in a population of people I think you  
10 would get more than a 3X range of effect you know,  
11 quantitative measure of effect. On the other hand you  
12 know, I know that the agency very commonly uses the  
13 three by three and 10. But I think that's a very --  
14 would you call it, not conservative but liberal  
15 application, I guess, of the safety factor.

16 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
17 Hayton. Other comments? All right. Hearing none,  
18 I'll go back to the agency.

19 **MS. DANA VOGEL:** No clarifying  
20 questions. Thanks.

21 **DR. JAMES MCMANAMAN:** Okay. Thank you.  
22 We'll move on then to Charge Question 6.b.

23 **DR. ELIZABETH HOLMAN:** Question 6.b.,  
24 Pre- vs. Post-natal Exposure. Numerous

1 epidemiological investigations have observed a link  
2 between prenatal exposure to chlorpyrifos or OPs and  
3 adverse effects on neurodevelopment through age seven  
4 years, with additional more limited evidence up  
5 through approximately age 11 years. By contrast,  
6 epidemiological evidence is more limited for  
7 associations between postnatal exposure to  
8 chlorpyrifos or other OPs and neurodevelopmental  
9 effects, and the Columbia study has specifically not  
10 assessed those associations.

11 Therefore, given that the extensive  
12 experimental laboratory animal database suggests that  
13 the postnatal period is a potential susceptible time,  
14 the lack of postnatal exposure assessment in the  
15 Columbia study and other similar cohort studies is a  
16 source of uncertainty in the epidemiology database.  
17 Please comment on the agency's conclusion that the  
18 lack of postnatal exposure assessment in the Columbia  
19 study is a source of uncertainty in the epidemiology  
20 database.

21 **DR. JAMES MCMANAMAN:** Thank you. The  
22 discussants on this question are doctors Jett, Funk,  
23 Koutros, Rohlman, Sagiv, and Sobrian. Dr. Jett is  
24 lead.

1                   **DR. DAVID JETT:** Thank you. So yes, I  
2 agree that in general the postnatal period is an  
3 important period to consider. It's likely that  
4 postnatal exposure to chlorpyrifos in my opinion  
5 contributes to the developmental neurotoxicity. And  
6 the lack of such data in the Columbia study is  
7 probably another source of uncertainty that should be  
8 considered. Also, the single time point exposure  
9 assessment when cord blood was taken does not reflect  
10 the dynamic nature of exposures. Again, we've talked  
11 about this.

12                   I think that even if we did know  
13 exactly when the developing nervous system was exposed  
14 to chlorpyrifos it's still very difficult to link back  
15 to a specific event during neurodevelopment that  
16 results in a specific phenotype such as ADHD. And  
17 actually that's where I'll stop.

18                   **DR. MCMANAMAN:** Thank you, Dr. Jett.  
19 Dr. Funk?

20                   **DR. WILLIAM FUNK:** Bill Funk. Just a  
21 couple things to add to that. I had on here, looking  
22 at the animal studies versus the epidemiology studies  
23 there is some strong evidence to suggest there are  
24 some effects postnatally. However, I think a lot of

1 that has to be cautiously looked at because of the  
2 differences between the experiments that have been  
3 done with epidemiology and toxicology where there's  
4 higher doses and different endpoints they've been  
5 looking at. So while there is some evidence from the  
6 tox data, I think, it's definitely uncertainty in the  
7 Columbia study.

8 I did want to note one other thing  
9 that, I don't know if it has been looked at, but  
10 looking at the data from Columbia one way that, at  
11 least you could look a little at postnatal exposures,  
12 if you look at the exposures in preborn to newborn  
13 exposures, 1999 and 2000, that have the highest doses  
14 -- I noted on these there were 138 children in 1999  
15 and 111 in 2000. And if these children were to have  
16 exposures postnatally before the volunteer  
17 cancellation of chlorpyrifos then there would be  
18 potential in the 1999 cohort to have these postnatal  
19 exposures where you would most likely not see these  
20 occurring in 2000.

21 So you could potentially look at the  
22 exposures in these two groups and the health effects,  
23 and if there's differences that could possibly show  
24 some -- prenatal exposures could be a part of that

1 explanation.

2 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
3 Funk. Dr. Koutros?

4 **DR. STELLA KOUTROS:** I'll just briefly  
5 add my affirmation that the consideration of this  
6 aspect of uncertainty in the risk modeling process is  
7 an appropriate interpretation of the epidemiologic  
8 data in my opinion.

9 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
10 Koutros. Dr. Rohlman?

11 **DR. DIANE ROHLMAN:** I agree that this  
12 lack of postnatal exposure assessment is a source of  
13 uncertainty. The brain continues to develop through  
14 infancy, childhood, and adolescence. Furthermore, we  
15 know that young children are not as efficient in  
16 metabolizing chlorpyrifos as adults. For example,  
17 lower PON1 activity. Infants return home from the  
18 hospital to virtually the same conditions of exposures  
19 that were in the environment during the prenatal  
20 period. Furthermore, we know that dietary exposure to  
21 chlorpyrifos has been demonstrated to be higher in  
22 young children.

23 Therefore, it's necessary to look at  
24 the impact of postnatal exposure on neurodevelopmental

1 outcomes. However, this data is not available in the  
2 Columbia cohort, which we've discussed at great  
3 extent. I would encourage using the body of evidence  
4 from other birth cohort studies, other human studies,  
5 examining the impact of postnatal exposure and  
6 neurodevelopment as well as the animal research and  
7 the modeling data.

8 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
9 Rohlman. Dr. Sagiv?

10 **DR. SHARON SAGIV:** I concur with the  
11 panel. And I just wanted to highlight that brain  
12 development continues through early childhood into  
13 adulthood. Particularly the frontal lobe development  
14 which doesn't really mature until later, until  
15 adulthood. And that is where they're seeing some of  
16 their effects on Working Memory, executive function,  
17 attention. So I would think if those structures,  
18 those functions, are sensitive to chlorpyrifos, there  
19 is no reason to expect they wouldn't be sensitive in  
20 the postnatal period when those structures are still  
21 developing.

22 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
23 Sagiv. Dr. Sobrian?

24 **DR. SONYA SOBRIAN:** I agree with what

1 the panel has said. I'm just going to read my short  
2 remarks. I think that postnatal assessment should be  
3 done for the following reasons: The fact that some of  
4 the cognitive neurobehavioral alterations do not  
5 appear until 36 months of age and others persist or  
6 appear between seven and 11 years of age suggest a  
7 need for postnatal assessment. Animal data report,  
8 it's been said, neurobehavioral alterations with only  
9 early and/or postnatal exposure. There's one in which  
10 animals are exposed between approximately one and 21.

11 So there's no gestational exposure at  
12 all and they do find changes. The last, the fact that  
13 no critical developmental window has been identified  
14 in animal research is suggestive of an ongoing adverse  
15 process that might involve also a second hypothesis  
16 that involves an environmental trigger which of course  
17 you might be able to look at by postnatal assessment.

18 **DR. JAMES MCMANAMAN:** Okay. This  
19 question is now open to other panel members. If there  
20 are no additional comments I'll turn it back -- Dr.  
21 Carr?

22 **DR. RUSSELL CARR:** I'm in agreement  
23 that the postnatal period is an important part of  
24 brain development. In animal models typically your



1 first week to eight days of life is considered  
2 gestational. And so we actually have studies starting  
3 at day 10 to where we're seeing effects just trying to  
4 mimic a toddler exposure. And so that period is maybe  
5 as equally as important as prenatal.

6 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
7 Carr. Other comments? If not --

8 **DR. DAVID JETT:** Except that I can  
9 confirm with any of us who have had teenagers that  
10 that delayed frontal lobe thing, yeah.

11 **DR. SHARON SAGIV:** Especially the boys.

12 **DR. JAMES MCMANAMAN:** Yeah. I think it  
13 even extends into the twenties now you know, the  
14 Millennials. So I'll send it back to the agency.

15 **MS. DANA VOGEL:** This is Dana Vogel.  
16 No clarifying questions. Thanks.

17 **DR. JAMES MCMANAMAN:** All right. So  
18 we'll do 6.c. and then we'll take a break.

19 **DR. ELIZABETH HOLMAN:** Question 6.c.,  
20 Impact of Sample Size on Columbia Findings.  
21 Associations with neurodevelopmental outcomes were  
22 consistently identified with respect to the number of  
23 abnormal reflexes in the neonatal period. The  
24 presence of mental and behavioral issues as well as

1 gross motor delays were pronounced especially in at  
2 ages 24-36 months, and the observation of intelligence  
3 decrements at age seven years were seen across the  
4 three U.S. children's cohorts using different measures  
5 of prenatal chlorpyrifos exposure.

6           However, with regards to dose-response,  
7 the modest sample size in the Columbia study make it  
8 difficult to say that the dose-response relationship  
9 between exposure to chlorpyrifos and  
10 neurodevelopmental outcomes in the overall U.S.  
11 population has been fully characterized. The  
12 magnitude of the PoD in the general U.S. population of  
13 infants and children may be higher or lower than that  
14 estimated using the Columbia study results, and the  
15 shape of the dose-response curve may also be  
16 different.

17           Please comment on the agency's  
18 conclusion that the moderate sample size of the  
19 Columbia study is a source of uncertainty, given that  
20 the agency is proposing to use the Columbia study data  
21 directly for setting a PoD.

22           **DR. JAMES MCMANAMAN:** Thank you. The  
23 discussants on this are doctors Jett, Funk, Koutros,  
24 Rohlman, Sagiv, and Sobrian and Dr. Jett is the lead

1 discussant.

2 **DR. DAVID JETT:** So I think the answer  
3 to the question is an easy one. And that is, is it a  
4 source of uncertainty, and that's yes. The question  
5 is the degree of uncertainty. And I think I've been  
6 educated by some of my new epidemiologist friends and  
7 probably could be educated more with some  
8 statisticians, as you mentioned before. But in  
9 general I think you know, modest sample size is a  
10 source of uncertainty especially given the  
11 heterogeneity of the U.S. population. But you know, I  
12 know that's a big picture kind of thing.

13 And it also limits statistical power  
14 and covariant analysis and confounding and things like  
15 that. But there's two caveats: One is the sample  
16 size requirements depend on the questions being asked.  
17 And two, a large scale focused on the  
18 neurodevelopmental toxicity of chlorpyrifos has not  
19 been done and probably will never be done. So this is  
20 the data we have.

21 **DR. JAMES MCMANAMAN:** All right. Thank  
22 you, Dr. Jett. Dr. Funk?

23 **DR. WILLIAM FUNK:** I have nothing to  
24 add to that.

1 DR. JAMES MCMANAMAN: Thank you. Dr.  
2 Koutros?

3 DR. STELLA KOUTROS: Okay. So I'm just  
4 going to read a little bit of my written comments  
5 first. The adequate sample size needed to have  
6 sufficient power to detect a given exposure disease  
7 association is based on several factors including the  
8 study design, the prevalence of the disease, the  
9 prevalence of the exposure, the magnitude of the  
10 effect, and the error rate. We actually have the  
11 ability to calculate -- we have all these inputs for  
12 this study. So I'm hesitant to say that -- so I  
13 disagree with what Dr. Jett said about this being a  
14 source of uncertainty because we probably can just  
15 calculate it.

16 Your friendly statistician down the  
17 block who did your standard error calculations or  
18 whatever could actually just calculate it from each  
19 study given the inputs that we have. And we could  
20 have a real value to reassess the power of the given  
21 studies to detect the observed associations. So I'm  
22 hesitant to speculate whether the sample size is a  
23 source of uncertainty. Because I think it's been  
24 commonly -- I heard over the last couple days that the

1 low sample size is an issue. It is not a requirement  
2 that -- so if the magnitude of an association is  
3 really strong, you do not need a large sample size.

4 And I don't think a lot of people  
5 understand that.

6 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
7 Rohlman?

8 **DR. DIANE ROHLMAN:** Sample size  
9 certainly can impact the interpretation of study  
10 findings. And in general we prefer to have more  
11 rather than few participants. However, as Dr. Koutros  
12 has pointed out that the number of participants  
13 doesn't indicate much. It's a bunch of other factors  
14 that go into it. However, I do not feel that the  
15 sample size for the Columbia study was particularly  
16 small. And I would also recommend a power calculation  
17 to provide the information about the magnitude of the  
18 effect.

19 I do want to comment that the study  
20 population is not representative necessarily of the  
21 entire U.S. population and this could limit  
22 generalizability.

23 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
24 Rohlman. Dr. Sagiv?

1                   **DR. SHARON SAGIV:** It's so funny we  
2 should be talking about power curves. Diane and I met  
3 over dinner and we did one. We did a power curve and  
4 we inputted the standard deviation of the exposure,  
5 the standard deviation of the outcome, the number of  
6 participants, the alpha, and some choices for a beta.  
7 And what we saw -- and I'm glad to include this in the  
8 report, I'm not sure if it's necessary, is that this  
9 study had the power to detect reductions in Working  
10 Memory Index as small as 0.1 per one pg/g increase in  
11 CPF, in chlorpyrifos, which is much lower than the  
12 reductions they reported in Rauh (2011).

13                   Which they reported percent change but  
14 they also reported a range -- and I didn't convert  
15 this to percent change, they reported a range of .35  
16 to .81 for the beta. So the study clearly has enough  
17 power to detect this effect. The sample size may have  
18 limited the study's ability to investigate effect  
19 modification and that should be noted. And that could  
20 contribute to the uncertainty if we are interested in  
21 looking at more vulnerable subpopulations in a study.  
22 So that's one of the few ways that uncertainty might  
23 come into play to look at for example, racial  
24 minorities, lower SES individuals.

1           Though this study was looking at mostly  
2 those vulnerable populations. So maybe that isn't as  
3 much of a concern. But if there was another effect  
4 modifier in which we were concerned that would explain  
5 a vulnerable population then that would be something  
6 to consider. I actually was kind of confused by this  
7 question because I didn't really understand the  
8 reference to the dose response. I think it seems  
9 unlikely that the dose response would be a concern  
10 because I think the range in exposure was pretty large  
11 in the study. So I guess I didn't --

12           **DR. STELLA KOUTROS:** I tried to ask Dr.  
13 Lowit this question about -- I couldn't understand why  
14 this particular issue was relevant. And she said that  
15 it had something to do with the language that was  
16 written in that was a quote, a specific quote.

17           **DR. SHARON SAGIV:** In the white paper.  
18 I found it and I didn't understand it in the white  
19 paper either.

20           **DR. STELLA KOUTROS:** You know what, so  
21 I don't understand why this particular source of  
22 potential uncertainty is more relevant than perhaps  
23 any of the other ones --

24           **DR. SHARON SAGIV:** Yeah. I don't

1 think this is a concern for -- this doesn't concern me  
2 in terms of uncertainty. The only thing that concerns  
3 me might be effect modification and I think that's  
4 actually a low concern as well.

5 **DR. STELLA KOUTROS:** I agree with you.  
6 Thank you for doing some of those power calculations.  
7 This is the first time I'm hearing them and if those  
8 are reproducible then I also agree that the sample  
9 size then is not a source of uncertainty although  
10 there are other sources of uncertainty.

11 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
12 Sobrian?

13 **DR. SONYA SOBRIAN:** I'm just wondering  
14 why the sample size question also. I think that, as  
15 Dr. Jett said, it's going to limit how you can  
16 generalize what you have because of the homogenous  
17 population. I found though even with a small sample  
18 size, finding effects that were -- at least for the  
19 behavioral domain, some consistency between that in  
20 the epi study and in the animal data I found that even  
21 a small sample size still gave you that. That was  
22 sort of impressive. There's not much talk about false  
23 positives and false negatives and if one is more  
24 affected by the limited sample size.



1                   And I think that with this particular  
2 case the false positives would be less troubling than  
3 the false negatives. I mean, let me take that back.  
4 Yeah. While false positives are an issue with a  
5 limited sample size, they are less troubling than  
6 false positives with a vulnerable population.

7                   **DR. JAMES MCMANAMAN:** Thank you, Dr.  
8 Sobrian. This is now open for the remaining panel.  
9 Go ahead.

10                  **DR. ALVIN TERRY:** This is Alvin Terry.  
11 Just like to comment on a few aspects of what was  
12 brought up before about dose response. I mean you'll  
13 hear the basic research scientist come out in me. But  
14 dose response is a fundamental concept in pharmacology  
15 and toxicology and I see it twice in this charge  
16 question. And I would argue that an observed  
17 association is not a substitute for a dose response.

18                  **DR. JAMES MCMANAMAN:** Thank you, Dr.  
19 Terry. Dr. Jett?

20                  **DR. DAVID JETT:** Yeah. I just wanted  
21 to sort of -- it was interesting, your power  
22 calculation. So in that calculation I guess it  
23 doesn't really address the issue of whether you can do  
24 other covariant analyses, or does it?

1                   **DR. SHARON SAGIV:** No, it doesn't.  
2                   It's a pretty standard power calculation that does not  
3                   take into account confounding. So if you had a  
4                   confounder that was limiting your precision or you had  
5                   missing data on a covariant it would not account for  
6                   that. So that's a caveat of our standard power  
7                   curves. There are power analyses you can do to  
8                   account for those factors but I didn't want to do that  
9                   at 10:00 pm and I actually don't know how to do them  
10                  to be honest. But there's one other thing that I  
11                  wanted to address because generalizability came up.

12                  And this is a perhaps not completely  
13                  representative sample of the U.S. population. So  
14                  generalizability might be a question. But in  
15                  epidemiology we're steeped in this from day one that  
16                  internal validity is much more important than external  
17                  validity. So you first want to get a good effect  
18                  estimate that's valid and free of confounding and  
19                  bias. And if you have a more homogenous population,  
20                  which this is pretty homogenous in terms of being  
21                  mostly African-American and Dominican, you will have  
22                  less confounding and therefore more internal validity.  
23                  So you've gotten a good estimate.

24                  Whether or not it applies to the U.S.

1 population as a whole, that's another consideration.  
2 If you have strong reason to believe that the U.S.  
3 population would be -- the effect on the entire U.S.  
4 population would be different than the effect in this  
5 population then that concern might come up but I don't  
6 see a reason for that. I don't know why chlorpyrifos  
7 would affect, say, whites more than they would  
8 African-Americans.

9 **DR. JAMES MCMANAMAN:** So comments from  
10 other panel members? Okay. Oh, well, Marion -- Dr.  
11 Ehrich?

12 **DR. MARION EHRICH:** Marion Ehrich,  
13 Virginia Tech. Just a question. Since the dose  
14 response they're going down to the limited detection  
15 the chances of false negatives actually goes up. Is  
16 that going to be a problem in using dose response?  
17 I'm asking some of the people with epidemiology  
18 background on this. I'm going to add to the  
19 uncertainty.

20 **DR. SHARON SAGIV:** So you're saying  
21 because here are more people in the low exposure range  
22 that there would be a higher likelihood of a false  
23 negative?

24 **DR. MARION EHRICH:** That was also a

1 statement made by Dr. Barr in her responses.

2 **DR. SHARON SAGIV:** Okay. I don't know.  
3 I mean I think of false negatives when there's a lot  
4 of exposure misclassification. So if there was a lot  
5 of exposure misclassification maybe this non-detect  
6 issue could do it. Then you might have more false  
7 negative. I mean you might attenuate your effect, so  
8 you might be underestimating your effect.

9 **DR. DAVID JETT:** And this is Dave Jett  
10 again. I was thinking about that as well. So this  
11 intercept point there's some associated variability  
12 with that estimate, right? Wouldn't that variability  
13 go down if you had a larger sample size? I'm not sure  
14 how that works.

15 **DR. SHARON SAGIV:** Your variance will  
16 always go down with a larger sample size and the  
17 conditions being equal.

18 **DR. DAVID JETT:** So I think that was  
19 what I was getting at when I said there is some  
20 uncertainty associated with the sample size. The  
21 power, I know, is important as well but isn't there  
22 other considerations that could influence the  
23 uncertainty?

24 **DR. SHARON SAGIV:** Yeah. If you had a

1 population of 1,000 it would be better. It would  
2 always be better to have more.

3 **DR. JAMES MCMANAMAN:** This is Dr.  
4 McManaman. So for the epidemiologists on the  
5 committee, are there examples where analyses have been  
6 done with a relatively large population, say a couple  
7 hundred individuals, and a conclusion was arrived at  
8 related to any kind of an effect that when the  
9 population was expanded to thousands of individuals  
10 that an opposite conclusion?

11 **DR. SHARON SAGIV:** I think the simple  
12 answer is yes.

13 **DR. JAMES MCMANAMAN:** Okay. I thought  
14 so.

15 **DR. SHARON SAGIV:** Yes.

16 **DR. JAMES MCMANAMAN:** So that gets to  
17 the point of whether this is -- I mean this may be a  
18 statistically population to be powered correctly but  
19 it may not be powered sufficiently to get the full  
20 population effect.

21 **DR. SHARON SAGIV:** Yeah. And I mean I  
22 think this comes back to the replication question in  
23 that you could have a spurious effect in an  
24 epidemiologic study. And that's why we usually want

1 to conduct more than one epidemiologic study.

2 **DR. JAMES MCMANAMAN:** Yeah. But given  
3 the impact of our conclusions regarding the validity  
4 of these in relationship to potential harm to the  
5 American public, to the human population, not just  
6 Americans but to human populations, and the potential  
7 impact on the use of this agent for positive then I  
8 think this is an important consideration. And the  
9 possibility that there may be uncertainty is an  
10 important consideration regarding the actual  
11 definition of what a point of departure is.

12 **DR. SHARON SAGIV:** Okay.

13 **DR. DAVID JETT:** This is Dave Jett. I  
14 think the question really in my mind was you know, is  
15 this study underpowered relative to your average  
16 "epidemiology study?" And from what I hear the answer  
17 is no. And that's why is said the uncertainty is  
18 there but it's relatively low.

19 **DR. JAMES MCMANAMAN:** Yes?

20 **DR. STELLA KOURTOS:** I just wanted to  
21 add that with respect to the specific issue of  
22 uncertainty regarding the sample size of the Columbia  
23 study, power calculations suggested to us today make  
24 that not a reasonable source of uncertainty for me.

1       However, there are other factors that I believe do. I  
2       was going to say something with respect to your  
3       comment that has escaped me at the moment. Forget it.

4                   **DR. JAMES MCMANAMAN:** That was Dr.  
5       Koutros. We'll come back to her in a moment. Dr.  
6       Sagiv?

7                   **DR. SHARON SAGIV:** I just wanted to add  
8       that it is not a particularly small population when it  
9       comes to an environmental epi question. It is  
10      probably an average size population. Not particularly  
11      high either but not low that you'd have concerns. I  
12      think the biggest concern is that it's one study. I  
13      keep coming back to that.

14                  **DR. STELLA KOUTROS:** Oh, I actually  
15      remember what I was going to say. One thing that we  
16      could recommend to the agency is, I don't know what  
17      approach you used. It sounded like you used the  
18      reported beta from the study, right?

19                  **DR. SHARON SAGIV:** I started with it.

20                  **DR. STELLA KOURTOS:** Right. So you can  
21      use the information provided by the 95 percent  
22      confidence interval which is supposed to include 95  
23      percent of the true values in that range. So with the  
24      different ranges of the magnitude of effect you could

1 also calculate the power. And if you're not  
2 comfortable with what the power is at the lower end of  
3 that range then maybe you could make another  
4 consideration.

5 **DR. JAMES MCMANAMAN:** Okay. So that  
6 would be then an agreed upon area of uncertainty  
7 regarding the agency's question.

8 **DR. STELLA KOURTOS:** No. It's still a  
9 knowable quantity.

10 **DR. JAMES MCMANAMAN:** No, no, no. But  
11 I think the question was is it a source of  
12 uncertainty. So there is --

13 **DR. STELLA KOURTOS:** So I think my  
14 interpretation of what the group has just repeated in  
15 the last 10 minutes is that we do not believe that the  
16 sample size is a source of uncertainty. However, we  
17 believe that there are other sources of uncertainty  
18 which we do not know how might be relevant to the  
19 current risk assessment modeling process.

20 **DR. JAMES MCMANAMAN:** Okay.

21 **DR. SHARON SAGIV:** And the power curve  
22 that I generated only went up to .2. It didn't even  
23 get to .35 which was the lower range of the beta that  
24 was in the paper. So we are very sufficiently



1 powered. This part does not put uncertainty in our  
2 heads. I think we talked about maybe effect  
3 modification being one of the few sources.

4 **DR. JAMES MCMANAMAN:** So there could be  
5 another source within a broader population that could  
6 lead to a different conclusion if we were to expand it  
7 out to 1,000 or 10,000 individuals. Is that the  
8 point?

9 **DR. SHARON SAGIV:** I don't understand  
10 the question.

11 **DR. JAMES MCMANAMAN:** Well, so because  
12 --

13 **DR. WILLIAM POPENDORF:** I think you're  
14 looking -- I mean are you thinking in terms of the  
15 homogeneity of the population and --

16 **DR. JAMES MCMANAMAN:** Yes. I'm  
17 thinking that if --

18 **DR. WILLIAM POPENDORF:** -- it needs to  
19 be more diverse rather than a simple statistical  
20 question then.

21 **DR. JAMES MCMANAMAN:** Right. Well so  
22 why would there be a changing conclusion using my  
23 hypothetical question where there's a -- have a  
24 population of 200 and you get one conclusion, you

1 expand it to 10,000 you get a different conclusion.  
2 So is that not a statistical question or is that more  
3 of a population and change in population question?

4 **DR. SHARON SAGIV:** It could be either.

5 **DR. JAMES MCMANAMAN:** Okay. Other  
6 comments, questions related to this question? Okay.  
7 Oh, Dr. Terry.

8 **DR. ALVIN TERRY:** You can stop me if  
9 you think I'm out of line on this. But the analogy  
10 you just brought up of taking one study with X number  
11 of patients and then deciding whether or not you could  
12 extrapolate that to a much larger population, this  
13 happens all the time obviously in clinical trials for  
14 new drugs. You have -- and there you know, can be  
15 double blinded perspective and have all the controls  
16 in them. If you test you know, 100 schizophrenia  
17 patients, you go to the next study and expand it to  
18 1,000 and it doesn't work, you know. So it is an  
19 appropriate analogy.

20 I think it's back to the reputability  
21 in letting one study drive your decision making  
22 process.

23 **DR. JAMES MCMANAMAN:** Right. Thank  
24 you. Yeah, Dr. Jett?

1                   **DR. DAVID JETT:** Would it be  
2 appropriate to sort raise this -- not now, raise this  
3 as a point of clarification of this question when we  
4 have our time with the agency? Are we going to have a  
5 time to speak to the agency again, I don't know.

6                   **DR. JAMES MCMANAMAN:** Oh, we'll have --  
7 at the end.

8                   **DR. DAVID JETT:** Right. Would it be  
9 possible to get clarification on this question during  
10 that period from the agency? I don't understand why  
11 that's a crazy question. It's a simple question.

12                   **DR. JAMES MCMANAMAN:** No. The question  
13 is the question. Well what we can do now is that if  
14 there are no other comments we can go back to the  
15 agency and ask if there are additional clarifications  
16 needed.

17                   **DR. DAVID JETT:** Well let me set that  
18 up by not asking a question. But just -- so I think  
19 one of the things I was trying to struggle with is you  
20 know, there's big uncertainty and there's specific  
21 uncertainty to this. And the reason I asked the  
22 question about the reference dose, I was trying to  
23 figure out if the issue of sample size here effects  
24 the big question of the reference dose. And that's

1 why I asked the question about you know, if we had  
2 more people in the study would the error around that  
3 reference dose shrink.

4 And just a little -- I guess the  
5 question -- well I won't ask a question but that's  
6 what I would say.

7 **DR. JAMES MCMANAMAN:** But that would be  
8 a question for the panel.

9 **DR. STELLA KOURTOS:** I think I can  
10 articulate if we want to ask the agency for a point of  
11 clarification. Although I don't think it would have  
12 any bearing on our conclusion for this question. So I  
13 guess I don't need any more clarification so I will  
14 ask if others --

15 **DR. DAVID JETT:** Well I think we do  
16 have some discrepancy in our conclusion. I mean I'm  
17 hearing on one side this has zero uncertainty, on  
18 another side I'm hearing there's some uncertainty. Or  
19 is that not significant enough to even worry about?

20 **DR. DIANE ROHLMAN:** So the question is,  
21 is the moderate -- this is Diane Rohlman, that the  
22 moderate sample size of the Columbia study is a source  
23 of uncertainty given that they're proposing to use it  
24 as a point of departure. And I think our -- I'll

1 speak with Stella and Sharon, is that there is  
2 information there to specifically look at the effect  
3 size, the power based on the sample size. So that is  
4 no source of uncertainty for that.

5 **DR. DAVID JETT:** That's the small one.  
6 I was talking about the bigger one.

7 **DR. DIANE ROHLMAN:** That's the small  
8 uncertainty. The bigger one which actually isn't  
9 stated there is the kind of extrapolation of the  
10 general population. And that's in the setup to the  
11 question if you read through that there. And that's  
12 where there is some uncertainty. So Dr. McManaman  
13 said if we go from the 200 participant study to a  
14 2,000 do we expect to see the same results, and we  
15 don't know. We could see different results and Dr.  
16 Terry gave us an example as well.

17 **DR. STELLA KOUTROS:** I just wanted to  
18 reiterate that it was merely just that we didn't  
19 understand why this particular source of uncertainty  
20 was pulled out here as opposed to other aspects of  
21 uncertainty. And if that is somehow relevant to some  
22 aspect of the risk modeling process that we haven't  
23 appropriately comprehended.

24 **DR. JAMES MCMANAMAN:** Dr. Koutros, I

1 think -- let me take a shot at answering that. I  
2 think the reason why is because this was brought up in  
3 both the 2008 and 2012 as having a relatively modest  
4 sample size. And that's why the agency was using that  
5 language and asked the question of this particular  
6 panel. And the agency can clarify that if --

7 **MS. DANA VOGEL:** This is Dana Vogel.  
8 Correct. Jeff, do you want to add anything?

9 **DR. JAMES MCMANAMAN:** Okay. Dr.  
10 Pessah?

11 **DR. ISSAC PESSAH:** The way I understand  
12 it, and I may have it wrong, but the point of  
13 departure -- the whole point of coming up with a new  
14 point of departure is to protect the bigger  
15 uncertainty, right? I mean in other words that you're  
16 protective of everyone or most people, right? More  
17 than just the women in the study. No? Okay.

18 **DR. JAMES MCMANAMAN:** Well that's kind  
19 of what I thought. This is Dr. McManaman. What's the  
20 point of a point of departure if we don't -- I mean  
21 who are we trying to protect? We're not trying to  
22 protect the people who have already been affected,  
23 we're trying to protect people in the future or now,  
24 the present. And we're trying to come up with a

1 logical estimate of what is a safe level of this  
2 particular agent.

3 **DR. WILLIAM POPENDORF:** Okay. I'm  
4 looking at the past versus the future. So I'll pass  
5 on this one.

6 **DR. SHARON SAGIV:** I think this gets  
7 back to the issue of effect modification and effect  
8 modification as it applies to generalizability. If  
9 you have a specific population that is different from  
10 the U.S. population, and you believe that the effect  
11 in U.S. population is different then that's not sample  
12 size, that's a different issue. It's external  
13 validity, it's effect modification, and there is  
14 uncertainty with that.

15 **DR. JAMES MCMANAMAN:** Dr. Pessah? That  
16 was Dr. Sagiv.

17 **DR. ISAAC PESSAH:** Isaac Pessah. Do you  
18 view this population as representative of the U.S.?

19 **DR. SHARON SAGIV:** No. I think I said  
20 that, that it's not, it's a minority population.

21 **DR. ISAAC PESSAH:** So that doesn't  
22 actually fit in trying to attain uncertainty for this  
23 question?

24 **DR. SHARON SAGIV:** No. That's what I'm

1 saying. That's what Stella was saying. We're not  
2 sure why the sample size itself is a source of  
3 uncertainty, we don't see it as a source of  
4 uncertainty. We see the sample population as a source  
5 of uncertainty. The source population may be a source  
6 of uncertainty. The source population is this  
7 northern Manhattan, I think Bronx or northern  
8 Manhattan --

9 **DR. JAMES MCMANAMAN:** South Bronx.

10 **DR. SHARON SAGIV:** South Bronx? And  
11 that population, as you know, is not representative of  
12 the entire U.S. population. But it doesn't get back  
13 to the agency's original question which was about  
14 sample size. It's a different issue. Though, you  
15 know -- no. I will stop there.

16 **DR. JAMES MCMANAMAN:** I think we're  
17 getting it. All right. So any additional comments  
18 related to this charge question? Okay. With that I  
19 will turn it back to the agency with the question if  
20 additional clarification is needed.

21 **MS. DANA VOGEL:** Hi. This is Dana  
22 Vogel. No additional clarifications. We would  
23 welcome the power analysis to be part of the written  
24 report. Thank you.



1                   **DR. SHARON SAGIV:** I will be checking  
2 that with a biostatistician before I send that to you.

3                   **DR. JAMES MCMANAMAN:** All right.  
4 Thank you. Then at this point I think let's take a 15  
5 minute break.

6                   **[WHEREUPON A BREAK WAS TAKEN]**

7                   **DR. JAMES MCMANAMAN:** Well, shall we  
8 reconvene? Okay. Welcome back. We are about to  
9 address Charge Question 7. So I'll turn it back to  
10 the agency -- our last charge question.

11                   **DR. ELIZABETH HOLMAN:** Question 7,  
12 Proposed Approach to Deriving Internal Dose Estimates:  
13 Integration of Exposure Assessment & PBPK Modeling  
14 (Section 9). The agency has proposed to input  
15 exposure estimates for chlorpyrifos into the PBPK  
16 model to assess internal blood concentrations from  
17 current exposure patterns. Several case examples were  
18 provided in the draft issue paper representing food  
19 exposures (see Section 9.2), drinking water (see  
20 Section 9.3), and worker exposure (see Section 9.4).  
21 Note: Exposure assumptions used in these examples have  
22 been previously reviewed by other SAP panels. Please  
23 comment on the implementation of the PBPK model using  
24 such exposure inputs and interpretation of respective

1 simulated blood levels.

2 **DR. JAMES MCMANAMAN:** Thank you. The  
3 panel on this are doctors Hayton, Pependorf, Sweeney,  
4 Fisher, and Georgopoulos. Dr. Hayton is the lead  
5 discussant.

6 **DR. HAYTON:** Thanks. I'm going to try  
7 to incorporate all the comments that I received from  
8 the associates as well. So I've broken this up into  
9 pieces and so the first part is about implementation  
10 of the model. And we say about that that using dose  
11 rate theory you would expect a continuous input  
12 whether it's intermittent, or like an infusion over a  
13 period of time. That would lead to a steady state  
14 level and you would expect the average steady state  
15 blood concentration. It would be constant, it would  
16 be the ratio of the input rate to the average steady  
17 state clearance.

18 And so the issue of whether dose rate  
19 and concentration are the same. What it really comes  
20 down to is the clearance independent of dose. And so  
21 we looked at that from the standpoint of the Michaelis  
22 constants that are in the model for metabolism.  
23 There's two of them, one at 1,000 and the other one at  
24 8,400 nanograms per mil. And so if you take 10

1 percent of the Michaelis constant value as the  
2 concentration where you might start seeing saturation  
3 of metabolism, the simulations that are being done  
4 with the model are way below that, they're down in the  
5 pg/g level.

6 So we didn't see that there was any  
7 issue with linearity. And the assumption that  
8 internal concentration would be linearly related to  
9 external dose, we thought that was highly appropriate.  
10 The other consideration was time for the simulated  
11 concentration to come to a steady state. And the  
12 model predicts a terminal elimination half life of  
13 about 120 hours. And one would expect 3.3 half lives  
14 would bring you to 90 percent of steady state. And  
15 that would apply to the more slowly equilibrating  
16 tissues in the model. So you got 3.3 half lives,  
17 that's 396 hours, that's quite a long time, but that  
18 would probably apply more to tissues like fat.

19 The more blood and the more rapidly  
20 equilibrating compartments would come to a steady  
21 state much sooner than that, probably within a couple  
22 of days, and the simulations show that. I mean the  
23 peak level you know, after day one is not much  
24 different from day two, three four, right across. You

1 do have the rising bottoms but the peaks and the  
2 average is pretty constant. So the input durations  
3 for these simulations in this section were 21 days for  
4 food, 120 days for drinking water, 14 days for worker  
5 handler exposures.

6 And so for all three inputs the blood  
7 concentrations would be very close to steady state and  
8 accurately depict concentrations except over longer  
9 exposures. So we had no issues with that part. As  
10 far as the exposure inputs, for food the chlorpyrifos  
11 ingestion rates was taken from food consumption data  
12 compiled from reported food consumption of more than  
13 20,000 individuals over two nonconsecutive survey days  
14 during 2003 to 2008. And this was subdivided into  
15 different age groups, too.

16 So I don't want to read all of that but  
17 just to jump to the bottom line here is that basically  
18 the dietary input rate was based on the food that  
19 people eat in the United States and the residue  
20 content of that food, all of which had been  
21 quantified, it seemed like a very robust way to  
22 calculate input. We called it a reasonable data  
23 driven approach to establishing chlorpyrifos intake  
24 rate in food. And I noted that oxon, CPF oxon, is not

1 present in food above the limit of detection. So that  
2 seems not to be an issue.

3 Similarly for drinking water the daily  
4 consumption volume for the adult female was 1.7 liters  
5 divided four times a day. For a formula fed infant it  
6 was .69 liters divided into six times a day.

7 Chlorpyrifos concentration came from a simulated  
8 chlorpyrifos concentration timed profile after  
9 exposure, not exposure, I guess, application of  
10 chlorpyrifos to an onion field at a rate of a pound  
11 per acre. And we've seen those profiles already, what  
12 the profile looks like over a period of a year. The  
13 other source was the -- if I can get the spelling  
14 here, the Orestimba Creek, which was actually  
15 measured.

16 It wasn't simulated concentrations but  
17 measured concentration. So these were input into the  
18 model. The model was run for several weeks. And so  
19 bottom line here was that the scenarios for drinking  
20 input of chlorpyrifos to both the adult female and  
21 infant appeared to be reasonable and realistic. We  
22 didn't have any issues with that. For worker handlers  
23 exposure specific inputs included an eight hour work  
24 day, two five day work weeks, separated by two

1 nonexposure days. Usage rates typical for the  
2 agricultural crop from currently registered product  
3 labeling.

4 It included both dermal and inhalation  
5 exposure assuming exposure of 100 percent of the  
6 dermal surface area and then a day to day shower. So  
7 there was no day to day carryover. So these are all  
8 elements of the worker handler exposure. This is a  
9 little bit outside my expertise but to me the input  
10 scenarios seemed to be reasonable and representative  
11 of exposure that a worker handler would encounter. I  
12 wasn't quite sure about the 100 percent of dermal  
13 surface. I'm not sure how consistent that is with use  
14 of personal protective measures in clothing but it's  
15 conservative in that it would not lead to  
16 underestimation of input certainly.

17 So the inputs all seem very sound and  
18 realistic. As far as the results of the three  
19 exposure modalities the worker handlers produced by  
20 far the highest chlorpyrifos venous blood  
21 concentrations. The average maximum peaks for the  
22 seven scenarios that were investigated was 393 pg/g of  
23 blood ranging from 194 to 954. For the food scenarios  
24 venous blood concentrations were much lower than for

1 worker handler's average peak concentrations during  
2 the 120 day simulation being .67 pg/g of blood at the  
3 50th percentile and 7.14 pg/g at the 99.9 percentile.

4 And peak blood concentrations for  
5 drinking water scenarios were similar at 7 pg/g. The  
6 onion crop scenario, 2 pg/g for the Orestimba Creek  
7 scenario. In terms of interpretation of the simulated  
8 blood levels the inputs of chlorpyrifos into the PBPK  
9 model from drinking water, food, and worker handler  
10 exposure appear to be appropriate and defensible. The  
11 inputs are well informed by a considerable amount of  
12 data relating to expected drinking water  
13 concentrations and dietary contributions.

14 Worker handler inputs are informed by  
15 highly developed occupational exposure methodologies  
16 and exposure data sources that have been extensively  
17 peer reviewed. The assumptions made in the  
18 development of the exposure scenarios have been  
19 reviewed previously by other SAPs. And in my group  
20 there are no compelling issues with regard to  
21 suitability of the inputs. Dr. Fisher is not here, he  
22 had to leave a little bit early. And so he had a  
23 little piece that I'll read into the record for him.

24 He says, "The exposure scenarios are

1 idealized based on generalized knowledge without the  
2 benefit of specific analytical measurements of plasma  
3 or the sources of external exposure. This approach is  
4 a reasonable exercise from a modeling point of view.  
5 Because of the uncertainties in both the external  
6 exposures and the resulting internal exposure, to gain  
7 added support and confidence for the PBPK modeling  
8 predictions requires the use of Monte Carlo methods to  
9 predict five, 50, and 95th percentiles for a  
10 population." So that's sort of a recommendation from  
11 him, seems reasonable.

12 "These hypothetical simulations are  
13 informative but without data probably lack the  
14 necessary validation (data sets to compare simulation  
15 versus observation) for use in a quantitative risk  
16 assessment." So that's from Dr. Fisher. Dr. Sweeney  
17 had some comments. I can read them or you could read  
18 them, Dr. Sweeney.

19 **DR. LISA SWEENEY:** I guess I'll make  
20 some of my own comments. And if there are any things  
21 that I summarized better for you.

22 **DR. WILLIAM HAYTON:** I think Dr.  
23 Pependorf is next though.

24 **DR. LISA SWEENEY:** Okay. I can do that



1 after you've finished all of the summary comments.

2 DR. WILLIAM HAYTON: Okay.

3 DR. JAMES MCMANAMAN: You're finished  
4 then, Dr. Hayton?

5 DR. WILLIAM HAYTON: I'm finished, yes.

6 DR. JAMES MCMANAMAN: Okay. Dr.  
7 Popendorf?

8 DR. POPENDORF: Yes. I don't have a  
9 whole lot to add. I believe your comment about the  
10 100 percent dermal exposure is correct as you know, no  
11 personal protective equipment. So it would be a  
12 conservative assumption. That's the way I interpreted  
13 that piece of information. I think in general the  
14 parameters chosen in the situations were good. Being  
15 familiar with the California Central Valley, I think  
16 you probably maybe -- or they maybe misrepresented a  
17 bit of chlorpyrifos in the creek. Which is you know,  
18 in the coastal range, very seasonal, relatively low  
19 flow, it's not a source of water.

20 In fact, where it flows into the San  
21 Joaquin River, I don't believe that there's anybody  
22 uses the San Joaquin below that as a source either.  
23 I'm not 100 percent sure of that. But you know, most  
24 of those rivers flow out of the Sierra Nevada's and

1 they're dammed up and used for irrigation and drinking  
2 water at that point but not on the other. It is what  
3 it is and its good data. So used appropriately in the  
4 simulations I think are fine. The only other comment  
5 was somewhat beyond probably the scope of where we are  
6 right now, but in the drinking water side I think  
7 there's a lot of evidence that says oxons are formed  
8 and it really hasn't been addressed.

9 And some of the data shows oxons to be  
10 a lot more toxic. So we're just sort of missing that  
11 component.

12 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
13 Pependorf. Dr. Sweeney?

14 **DR. LISA SWEENEY:** Part of that charge  
15 question is the interpretation of the respected  
16 simulated blood levels. And to a certain extent there  
17 wasn't a lot of interpretation by the EPA, but my  
18 understanding is that it is the simulations of 10  
19 hours after the last peak that are going to be  
20 compared to the RFD. And I want to remind people  
21 about the definition of the RFD from the IRIS glossary  
22 which is that, "An estimate with an uncertainty  
23 spanning perhaps an order of magnitude of a daily oral  
24 exposure to the human population, including sensitive

1 subgroups, that is likely to be without an appreciable  
2 risk of deleterious effects during a lifetime.”

3 And it goes on from there. So to a  
4 certain extent when I heard EPAs sort of orientation  
5 presentation this morning where they talked about  
6 wanting to make sure the RFD is low enough, well the  
7 idea of the RFD is it's supposed to be within an order  
8 of magnitude of what they think is supposed to be a  
9 risky number. So yes, lower would be safer but it is  
10 supposed to be bounded within the realm of what is  
11 believed to be within an order of magnitude of risk.

12 And usually with an RFD and when you're  
13 talking about a threshold effect you can't say as much  
14 about, well what about exposures above the RFD because  
15 it just talks about a cutoff. But in this case  
16 they're actually talking about using a value that's  
17 based on the linear slope. So in that case you can  
18 attempt to make calculations of what sort of risk you  
19 would have for people with exposures above the RFD.  
20 So if you look at Table 11 for the worker exposure  
21 scenarios and you look at the 10 hours after the last  
22 peak on day 12, and you see that these estimated  
23 concentrations are in the teens and twenties which are  
24 a factor of 1,000 above the RFD.

1                   And you're thinking about my goodness -  
2                   - the slopes on the Working Memory derivation that  
3                   we've discussed at length today, you have to think  
4                   about my goodness, if these people are truly being  
5                   exposed at levels that, based on these comparisons are  
6                   a factor of 1,000 above the RFD, why doesn't every  
7                   pregnant woman who has done this activity -- shouldn't  
8                   we be seeing massive effects in their children? How  
9                   could we not have seen this before? So to me it give  
10                  pause as to -- well for one thing, the linear  
11                  relationship that was derived, how well that holds.

12                  And also just in general whether  
13                  assuming a sort of working backwards with one peak  
14                  value as opposed to considering the possibility that  
15                  the Columbia cohort was particularly affected by a  
16                  longer term exposure. Rather than a perhaps shorter  
17                  duration worker exposure which could be more  
18                  intermittent. Just makes me wonder whether everything  
19                  that's gone into the RFD, does this make sense just  
20                  based on these type of exposures. Which we're told  
21                  these scenarios are very well vetted and very well  
22                  established.

23                  And I just have to wonder are these  
24                  really what the exposures are or are these you know,

1       biased high in order to be protective. Since I am not  
2       an exposure assessor it's hard for me to vet that and  
3       I'm willing to accept that the agency methods are well  
4       vetted and well accepted. But I just sort of did the  
5       math and think wow, this is 1,000 fold higher. And  
6       are there effects, have people looked? And if they've  
7       looked and not found it what does that mean for the  
8       context of this RFD?

9                   **DR. JAMES MCMANAMAN:** Thank you, Dr.  
10       Sweeney. Dr. Georgopoulos, are you there?

11                   **DR. PANOS GEORGOPOULOS:** Yes, I am here  
12       and probably you can hear me, I pressed the unmute  
13       button. First of all, there are various issues and  
14       Dr. Sweeney brought up some of them regarding the  
15       interpretation which is -- there is not much of it.  
16       But if we focus on the specifics of the question of  
17       the implementation of the PBPK model with the inputs  
18       there are some issues. So overall I agree that the  
19       overall premise is reasonable and defensible for the  
20       scenarios that are described. There are various  
21       imitations that should probably be outlined in a more  
22       clear way.

23                   And there is this section of the issue  
24       paper needs some careful editing because one needs to

1 go forth and back to try to understand a few things  
2 and some statements can be misleading. So I have made  
3 a list of examples in my written comments but maybe I  
4 can go over one or two. The most important thing, and  
5 I think it was properly brought up, so these  
6 particular scenarios are reasonable, represents  
7 closely of all cases. There is a big difference  
8 between performing an individual based exposure  
9 analysis that could be for a real individual, we have  
10 data, activity, diets, and so on.

11 Or for a virtual idealized individual  
12 as is the case for the simulations performed for this  
13 particular analysis. Or if you do a population based  
14 analysis, which is Monte Carlo distributions of input  
15 that try to capture both the variability within the  
16 population and the uncertainty in the knowledge of  
17 variables. So the 2014 revised this (inaudible) and  
18 used the population based approach, a probabilistic  
19 approach. While the new calculations that are shown  
20 here are individual based and so they claim to offer  
21 an improvement in terms of specific scenarios.

22 They cannot capture variability within  
23 the real population although they were focusing on the  
24 entire population of United States. So this is a

1 distinction that sometimes doesn't come up  
2 specifically in the figures. Maybe if I give you an  
3 example it would be -- I look for example on the  
4 table, very small Table 10 on page 67. The title of  
5 the table is, "Summary of PBPK model estimated maximum  
6 property for blood concentration falling to the defend  
7 the drinking water exposure scenarios." Now the  
8 problem here, we know in formula six of population it  
9 identifies two populations.

10 One is infants formula fed with water  
11 and the problem is it's -- in this one value given,  
12 29.6 pg/g, that supported the model simulated scenario  
13 of exposure, and the other is 624 for a measured  
14 scenario of exposure. Now, the reality is that there  
15 is no measure of exposure. In both cases it's a  
16 modeled exposure. In one case we start with a  
17 concentration in water of the Orestimba Creek and  
18 there is an assumption about how much water is to be  
19 consumed. I mean we should not confuse one particular  
20 maximum environment that was intentional industrial  
21 exposure. So the exposure is also modeled, it is not  
22 (inaudible) per see.

23 And then, we cannot -- to me if I see  
24 this table and somebody can see this table without

1 reading the entire narrative of the report and the  
2 appendices, one would assume that these values are  
3 somehow representative of the entire infant population  
4 of the United States, they are not. The first one  
5 particular scenario for one particular virtual infant  
6 that was simulated. The second line says females of  
7 child bearing age 13 to 49 years old. Now, that's a  
8 very wide age range. And then this one value, one  
9 estimate given, again, says model estimate is 699  
10 pg/g, the other is measured 197.

11           Again, it is not measured, it is based  
12 on a value from water concentration. And today's  
13 modeling to assume exposure was changing assumption  
14 regarding the consumption of water. But this is a  
15 value, again, for an idealized adult female, 73  
16 kilograms weight, with specific body mass. And it's  
17 by no way representative of the population 13 to 49  
18 years old. I mean we never in exposure calculations  
19 use a single value for such a wide population. A 13-  
20 year-old maybe is still a child. I feel very  
21 uncomfortable having a statement or a table like this.  
22 And I know it was shown also in the slides today.

23           Maybe you know, it should be  
24 clarification that this data, one, represents one



1 adult individual from this population. But in no way  
2 -- you cannot define an average person in the  
3 population of 13 to 49. And the dosimetry, and the  
4 pharmacokinetics, and the exposure, they all depend on  
5 behavior, on physiology, on individual biochemistry.  
6 And this will be very different of the population.  
7 And as you go to the extreme ranges of the edge of the  
8 13-year-old, the 49-year-old, they are different  
9 dramatically.

10 So we have a table that if you look at  
11 it without reading every sentence this report can be  
12 very misleading. So that's an example of something  
13 that needs some very careful editing. And another  
14 example -- and I'll stop at this Table 3 on page 32.  
15 I think it came up yesterday in the discussion. The  
16 title of the table is, "Summary of PBPK model run for  
17 analysis or validation of Columbia study blood  
18 levels." To me this is totally confusing. That just  
19 means that the model they're asking you to validate  
20 measured blood levels.

21 I mean you cannot even use the existing  
22 blood levels to evaluate the model they are so sparse.  
23 And so it doesn't make any sense to me what this --  
24 the title can be very confusing. A column should be

1 added to this table showing blood concentrations they  
2 are now shown to the highest peak so one could make a  
3 consistent comparison for time points for the highest  
4 and the lowest peak and so on. These are examples of  
5 editing because this particular section is confusing.  
6 There are multiple references to the distribution of  
7 probabilistic modeling that was done for the 2014 risk  
8 assessment which has been previewed which just you  
9 know, follows the standards and so on.

10 And it is a lot of information in the  
11 appendix but it looks like it was copied rather  
12 hastily. Because in the references and that  
13 information in Appendix 2 don't appear, some of them,  
14 in the list of references in the issue papers. So it  
15 looks like it has not been fully integrated in this  
16 document. But it is an issue when some scenarios, no  
17 matter how representative they are of cases, they  
18 don't capture the extremes. They would be exposed to  
19 much higher potentially than this.

20 So even whether they are representative  
21 we need to have a better understanding of what do they  
22 expect. Yes, they are reasonably conservative  
23 scenarios, we often use them, but I think there will  
24 be a lot to be gained by careful editing of this

1 section of the report to identify some of the  
2 limitations and to explain the use of selected  
3 scenarios. And I would very much point to the fact  
4 that we are talking about idealized virtual  
5 individuals in these cases. They cannot be  
6 extrapolated or assumed to be representative of this  
7 large population samples.

8 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
9 Georgopoulos. Okay. With that I'll open this  
10 question to the other members of the panel. Any  
11 comments? Yes, Dr. Pependorf?

12 **DR. POPENDORF:** Yeah. Two comments  
13 that I can add on a couple of comments made  
14 previously. I think Dr. Fisher asked about the  
15 exposure. And being pretty familiar with what's  
16 called PHED data base, it's a pesticide handler  
17 exposure database. There are a lot of exposure  
18 studies where they've looked at people doing various  
19 jobs. They have measured how much pesticide is used  
20 and they find out how much pesticide gets on the  
21 surfaces of the person.

22 Air concentration and they use a  
23 breathing rate and do dermal monitoring to find out  
24 what the exposure level is on to the skin. So then

1 they can extrapolate using for instance, like a PBPK  
2 model to figure out what goes inside. But that's the  
3 database they used presumably. I'm not intimately  
4 familiar with the numbers within it but generally for  
5 each task, like the various handlers that were used  
6 here, we'll have a range of values that have been  
7 collected or you know, studies done. And they don't  
8 specify what percentile of what's called the unit  
9 exposure coefficient they used but they'll have a  
10 range of values.

11 And we don't know what they used. So  
12 they might consider what they used in light of  
13 everything. Hopefully it was maybe 50 percent, it  
14 could have been the 95 percentile. They don't say, we  
15 don't know. But again, I was familiar with that and  
16 pretty comfortable with it. And just to perhaps  
17 clarify the previous speaker on that page 67, yeah.  
18 The modeled estimate column is the bulb onion data  
19 from Georgia. So the concentrations in water were  
20 estimated versus the measured values were the  
21 Orestimba Creek in California.

22 So the labeling could be better but  
23 that's what he was talking about.

24 **DR. JAMES MCMANAMAN:** Other comments?

1 Okay. Well then turn it back to the agency.

2 DR. JEFF DAWSON: Reminding me to say  
3 my name. I was actually going to do it.

4 DR. JAMES MCMANAMAN: This is Jeff  
5 Dawson.

6 DR. JEFF DAWSON: Right.

7 DR. JAMES MCMANAMAN: I'll help you.

8 DR. JEFF DAWSON: It's hard to remember  
9 after all this. So I don't think we had clarifying  
10 questions. But some of the discussion -- maybe if I  
11 can provide some additional information that would  
12 help clarify in the report. Just given some of the  
13 topics that have been discussed.

14 DR. JAMES MCMANAMAN: Has it already  
15 been presented?

16 DR. JEFF DAWSON: Well they were  
17 talking about it and I think there was a little bit of  
18 misinterpretation about what we actually did. And I  
19 wanted to just provide a little bit to clarify so the  
20 report --

21 DR. JAMES MCMANAMAN: If it's  
22 clarification but we can't allow new information.

23 DR. JEFF DAWSON: It's clarification.

24 DR. JAMES MCMANAMAN: Okay.

1                   **DR. JEFF DAWSON:** So to follow up on  
2 Dr. Pependorf's comments about the occupational  
3 exposure estimates and the loading across the entire  
4 skin surface. So basically we did use the database  
5 and now some of these scenarios have gone beyond the  
6 pesticide exposure database to the ATTF database at  
7 this point. I have to check the exact ones to make  
8 sure. But basically they represent the exposures to  
9 the skin and they're actually monitored, not modeled  
10 under the work clothing and protective equipment for  
11 those scenarios.

12                   So it's not just like it's you know,  
13 you're wearing nothing or something. So it's  
14 reflective of actual occupational exposures. And  
15 these scenarios in here are representative of what we  
16 could include our regulatory process. We would look  
17 at the whole set of allowable label conditions when we  
18 did an actual risk assessment. This is just a very  
19 small subset of the allowable uses for chlorpyrifos  
20 and set it kind of the lower -- you know, it's a big  
21 continuum of exposures associated with the use  
22 chlorpyrifos. These are kind of the lower values.

23                   Because when we were working through  
24 these as far as application of the model we were

1 thinking that you know, we don't want to do any more  
2 work than we need to so we can kind of ratchet up as  
3 we go along to see where this kind of a threshold  
4 issue. The other question was that the RFD. So  
5 because we're working under the context of the Food  
6 Quality Protection Act it's 10 plus the FQPA factor  
7 which is in play here. So, hence it's 100 and not 10.  
8 And then the PBPK model removes the inter-species  
9 factor as well.

10 **DR. LISA SWEENEY:** It's on human data  
11 so how can you have an inter-species?

12 **DR. ELIZABETH HOLMAN:** There's no --  
13 the inter-species reduced to one.

14 **DR. JEFF DAWSON:** Right.

15 **DR. ELIZABETH HOLMAN:** Right. There is  
16 no -- because if you're using a PBPK model.

17 **DR. LISA SWEENEY:** Is that a good thing  
18 to do with the --

19 **DR. JEFF DAWSON:** So they eliminated it  
20 I think is what they said.

21 **DR. ELIZABETH HOLMAN:** Right. That's  
22 because we have the model.

23 **DR. LISA SWEENEY:** Right. Not the  
24 usual PBPK, you're right.

1                   **DR. ELIZABETH HOLMAN:** We were just  
2 clarifying what our -- what we used as uncertainty  
3 factors in this case because of --

4                   **DR. JEFF DAWSON:** Right. It's a factor  
5 of 10.

6                   **DR. ELIZABETH HOLMAN:** The Food Quality  
7 Protection Act is a 10X class.

8                   **DR. JEFF DAWSON:** Correct. So 10 plus  
9 the FQPA factor of the additional 10, that's why it's  
10 100 and not 10 like the RFD methodology.

11                   **DR. ELIZABETH HOLMAN:** There's an  
12 intra-species factors and then the FQPA factor on top  
13 of that.

14                   **DR. JAMES MCMANAMAN:** Okay. Thank you.  
15 Yeah. So it's -- we can't be engaging in -- the  
16 discussions got to be amongst us. So if it's --

17                   **DR. WILLIAM POPENDORF:** I mean it's not  
18 controversial, it's just clarification.

19                   **DR. JAMES MCMANAMAN:** Okay.

20                   **DR. WILLIAM POPENDORF:** Two things you  
21 said was not crystal clear. So when the document said  
22 100 percent dermal that was another way of saying full  
23 body exposures assessments were conducted. But it  
24 doesn't mean that personal protective clothing was



1 left out of this model? Her usual clothing was worn?  
2 Correct?

3 **DR. JEFF DAWSON:** Jeff Dawson. Yes.  
4 So its reflective of loading across the body when  
5 normal work attire per that scenario was included.

6 **DR. WILLIAM POPENDORF:** That's  
7 definitely useful because I didn't interpret it that  
8 way. The other one's -- the document said PHED was  
9 used rather than the newer data. So if that's  
10 incorrect that should be clarified or fixed.

11 **DR. JEFF DAWSON:** Yes. Jeff again.  
12 Yes, we could do that.

13 **DR. JAMES MCMANAMAN:** That was Dr.  
14 Pependorf asking the questions. Okay. So back to the  
15 agency. There are no additional clarifications?

16 **MS. DANA VOGEL:** So this is Dana Vogel.  
17 No additional clarifications.

18 **DR. JAMES MCMANAMAN:** Okay. Well then I  
19 think that ends the charge questions. So now we're on  
20 to the closing remarks. And for the panelists this is  
21 a time in which you can make additional comments to  
22 the agency and to other panel members about concerns  
23 that you might have or questions that you might have.  
24 It's not a time for remarks that you might have, it's

1 not a time for back and forth. But its conclusions  
2 and summary comments related to these charge  
3 questions. So I guess I will start.

4 So I want to start by going back to the  
5 2012 panel and quoting a quote from them. Saying,  
6 "The panel from 2012 additionally notes that studies  
7 evaluating neurodevelopmental effects entailed  
8 experimental designs that do not permit an efficient  
9 means of determining a point of departure for  
10 chlorpyrifos." I still can't say that. I don't know  
11 why after -- it's like, I don't know, dentures or  
12 something. I don't know. So the point is there was -  
13 - I mentioned this before is that there was a lot of  
14 uncertainty. And there were a lot of questions about  
15 the use of this data, the three neurodevelopmental  
16 studies, in terms of setting a point of departure.

17 And I think for myself and for, I think  
18 for many other panel members, I'll let them speak for  
19 themselves, but I think that this panel also continues  
20 to have questions about the use of this data to set a  
21 point of departure. In fact, the 2012 panel which  
22 included a number of epidemiologists as well as  
23 toxicologists who are on this panel, advised the  
24 agency to continue to use the cholinesterase data as

1 the most sensitive life stages for dose response  
2 analysis and deriving points of departure.

3           While it may be true that the  
4 acetylcholinesterase data may be not the most  
5 sensitive, I think that that panel clearly recognized  
6 that the neurodevelopmental studies were -- the  
7 experimental designs of those studies were not  
8 appropriate for setting a point of departure and I  
9 concur with that. I think that this panel's heard a  
10 variety of data both from the agency and from public  
11 commenter's that lead us to believe that there is a  
12 lot of uncertainty in terms of using the  
13 neurodevelopmental data as a point of departure as  
14 proposed.

15           I think that there is additional  
16 concerns about whether this is a representative  
17 population. Think there are concerns about whether  
18 the measurements are -- the validity of the  
19 measurements in terms of deriving a linear dose  
20 response curve, and a number of other factors have  
21 been discussed at length I think, by this panel. So I  
22 do not envy you. I think that you're in a tight spot  
23 in terms of having to address the court order.

24           But I think that additional information

1 is needed before you can derive a point of departure,  
2 an effective point of departure that both protects the  
3 human population and is not overly burdensome in terms  
4 of using an important agent for pesticide management.  
5 So good luck. It's a tough problem and I really don't  
6 envy you. But I fully think that additional  
7 information is required. And I encourage you to try  
8 to design experiments that -- and some of those  
9 experiments were provided in 2012 and I think that the  
10 panel will provide some additional experimental  
11 approaches that might be useful and we've discussed  
12 some of those.

13 So I will, at this point, I will end my  
14 concluding comments and I'll turn it over to Dr. Jett.

15 **DR. DAVID JETT:** Well I guess I don't  
16 have a lot to add to that except to say you know, the  
17 word chlorpyrifos has been in my head for 20, 25 years  
18 now. And you know, I think first of all, I think pest  
19 control is important in our modern civilization,  
20 there's no way around it. And I think our job is to  
21 see that we do that pest control safely. And I think  
22 you know, I certainly want to commend the EPA. I mean  
23 it's obvious you guys think really, really hard about  
24 this and have done a tremendous amount of work. Like

1 someone said earlier, it's not just for the past  
2 couple years, it's been for the past couple decades.

3 So I think for this particular  
4 question, and we are sort of at a cross road with this  
5 insecticide, but for this particular question I think  
6 the approach is the right approach. And that is to  
7 just sort of identify these areas of uncertainty and  
8 make the best possible decision you can. The one  
9 thing that I will say and then I'll stop, and that is  
10 you know, this whole idea of this decision being you  
11 know, hinging this decision on this one study. The  
12 question is you know, is that really the case first of  
13 all, and I don't think that's true.

14 And there's a whole body of work that  
15 goes beyond this one study that contributes to this  
16 decision. So that's one thing. And then when we were  
17 talking about alternatives I was also thinking -- and  
18 this may be sort of far out there, but is there some  
19 way -- you have a lot of smart people who know how to  
20 you know, model and do things, is there some way that  
21 you could have some sort of hybrid solution. Where  
22 you know, you use both acetylcholinesterase and these  
23 chlorpyrifos levels in the Columbia study to somehow  
24 come up with a reasonable point of departure estimate.

1                   So at least explore -- you know, I  
2 would recommend, suggest, at least explore  
3 opportunities that aren't either/or. But other than  
4 that I think that's all I had.

5  
6                   **DR. MARION EHRICH:** Marion Ehrich,  
7 Virginia Tech. Just a general comment. In order for  
8 a registrant to put a new pesticide on the market or  
9 to re-register a pesticide the data has to be very  
10 rigorous. Now we're looking at something the  
11 opposite. Maybe the data doesn't need to be quite  
12 that rigorous but it needs to have rigor in order to  
13 put the EPA in a position of defending their  
14 decisions. You have to defend your decisions to allow  
15 registration, you have to defend your decisions to  
16 have certain cutoffs or restricted uses, you certainly  
17 have to have enough data, enough strong data, in order  
18 to think about a cancellation.

19                   So if we're basing this on one study  
20 where it's not been reproduced, you can't get the  
21 actual hard data, there's lots and lots of points  
22 below levels of detection, one has to give that really  
23 serious thought. And I really enjoyed the discussion  
24 when people came up with all kinds of other ideas

1 about using the urinary data and combining it with  
2 some of this. Putting some of these types of  
3 information together, using more of these  
4 epidemiological studies, using banked blood samples,  
5 and so forth. So you can increase the rigor of your  
6 data that will help you make the decision.

7 So that would be my encouragement on  
8 you. Because you have to defense what you decide to  
9 do and you need rigorous data, good reasons in order  
10 to do that.

11 **DR. SONYA SOBRIAN:** I certainly agree  
12 with what has already been said and I'm sorry I wasn't  
13 here for this morning's discussion. I do like the  
14 idea of a possible hybrid solution. I think  
15 incorporating neurobehavioral end points is important.  
16 But I don't think -- I mean to do that though I think  
17 if you want to use epidemiological data I think there  
18 should be some scheme for systematic evaluation of the  
19 strength of the different studies so people can see  
20 how you chose to use study one versus study three.  
21 But that's not -- I mean that hasn't been presented to  
22 us here. So I think using both neurodevelopmental  
23 data, but also trying to look for some kind of  
24 mechanism. I think that will be important down the

1 road.

2 So -- I mean I think you've done a lot  
3 of work but I feel -- I think there are a lot of  
4 uncertainties in the data that have been presented to  
5 us that I would feel uncomfortable trying to make  
6 regulations or policy on that because I don't think  
7 the data are very strong.

8 **DR. ALVIN TERRY:** Alvin Terry, Augusta  
9 University. I'd like to first thank the EPA for  
10 inviting me to serve on a committee that I think has  
11 such an important impact. I mean it's kind of  
12 daunting when you go through the reams of material.  
13 And I did my best to do that, to go through all of the  
14 material, including all the public comments. And you  
15 know, I go back to think about the last one of these  
16 committees I served on and some of the things we  
17 discussed about mechanisms of action. And I think  
18 everybody in this room would agree that  
19 organophosphates are poisons. I mean they're not you  
20 know, trivial compounds.

21 But we take prescription drugs every  
22 day that are also poisonous depending on the dose you  
23 take. And so the other comment I'd like to make is I  
24 have great respect for the discipline of epidemiology.



1 And in fact, I use published papers all the time in my  
2 intro to the papers that I write and I quote people  
3 like Dr. Rauh. And I think they're a gold mine for  
4 driving hypothesis testing and basic research studies.  
5 But I don't believe epidemiology alone should drive  
6 the decision of such magnitude like this. Neither  
7 would I say that basic research in animals should  
8 drive the decision alone.

9 I think it should be a combination of  
10 the two. And like I mentioned before, what does a  
11 preponderance of the evidence suggest? And even to go  
12 further, to take only one epidemiology study that may  
13 or may not have the different limitations that we  
14 covered, I don't think that's sound scientific  
15 practice in my own judgment. And so I think most of  
16 the other comments that Dr. McManaman covered most of  
17 my other sentiments. So my opinion is that there's  
18 not enough evidence to change the current PoD  
19 guidelines.

20 **DR. LISA SWEENEY:** Dr. Lisa Sweeney,  
21 Henry M. Jackson Foundation. I'm more familiar with  
22 integrated risk information system type risk  
23 assessments that use some of the same principles. And  
24 in IRIS assessments they sort of limit the number of

1 uncertainty factors of 10 that you can apply. After a  
2 certain point they say if you have to apply that many  
3 uncertainty factors, you really don't have enough data  
4 to do an assessment. It's harder with an epidemiology  
5 study like this where some of the questions are things  
6 like how we link back to the exposure.

7           Because the cord blood is a snapshot in  
8 time and I'm concerned that it's not meaningful in  
9 terms of birth being the key window of exposure. So  
10 the linkages that you have to take back with the  
11 uncertainty about labor and delivery, the uncertainty  
12 about where you might -- how long since a pesticide  
13 application occurred, I think the you know, if you had  
14 uncertainty factors for those steps, you'd have too  
15 many uncertainty factors. And you'd just have to say  
16 this is not something we can use for a quantitative  
17 risk assessment even though it might tell us important  
18 things about hazards, what the hazards are, what some  
19 of the particular issues are with this chemical. But  
20 that doesn't mean that you can use it for quantitative  
21 risk assessment.

22           **DR. SHARON SAGIV:** This is Sharon Sagiv  
23 from UC Berkeley. So I want to highlight how, I think  
24 it's been said already, how important epidemiologic

1 data is in this process. Yes, we don't design epi  
2 studies for risk assessment, that is true. And  
3 they're fraught with error as has been demonstrated  
4 over and over again during our discussions. But when  
5 it comes down to it, we're not rats. And there is no  
6 animal model for some of these neurodevelopmental end  
7 points. So animal studies can take us just so far.

8 And I usually liken neurodevelopment,  
9 and I said this earlier to someone, as the canary in  
10 the coal mine. And these are sensitive end points and  
11 I think we need to use a sensitive end point to find a  
12 safe level of exposure in risk assessment. So I think  
13 that epi studies are extremely important to risk  
14 assessment. I'm not concerned about using them for  
15 risk assessment. What I'm concerned about is using  
16 one epi study for risk assessment. That really gives  
17 me a lot of pause. So I think in terms of setting a  
18 precedent here I think we need to value these studies  
19 in doing risk assessment, I really do.

20 I will push back on anyone who says  
21 that we should only use animal studies to do risk  
22 assessment, especially when it comes to  
23 neurodevelopment. But as I said over and over again,  
24 I think that using one study does set sort of a bad

1 precedent. So that's what I have to offer. And I  
2 guess I do feel that the PoD right now is too high.  
3 We have to figure out a way to lower it. But I don't  
4 think that using cord blood from one study is the way  
5 to do it. I think that consideration of the  
6 uncertainty around the established mechanism might be  
7 the better choice.

8 And really trying to come up with a PoD  
9 that makes sense using that mechanism.

10 **DR. DIANE ROHLMAN:** Diane Rohlman from  
11 the University of Iowa. So I come with a broad  
12 perspective here. I understand the benefits of  
13 pesticides, the need for them for agricultural crops,  
14 for vector control, for making fruit look nice so we  
15 eat more and we don't develop cancer as much. So  
16 there's many benefits to pesticides. But I also know  
17 that they have risks associated with them. And Dr.  
18 Terry pointed out that so does our prescription  
19 medicine.

20 And one of our focus is to really try  
21 to you know, balance the safe use of these pesticides  
22 with the hazards that come with that. And that can be  
23 done through a number of different ways. Chlorpyrifos  
24 is something we have been looking at for many years.

1 We have recognized that there are health effects  
2 associated with this. EPA has done numerous reviews  
3 and has identified that these effects are occurring at  
4 low levels. And this is been found in both animal and  
5 human studies as well. I think that we've had a lot  
6 of discussion today about moving the point of  
7 departure to a much lower level than it currently is.

8 I think that is appropriate, I think it  
9 is too high, I agree with Dr. Sagiv. But the concern  
10 is using one study. I have no problem with using  
11 epidemiological studies. I think the Columbia study  
12 is a well done study. It has been peer reviewed and  
13 panel reviewed many, many different ways. Every study  
14 will have uncertainty and could be done better and  
15 different decisions could be made. However, it is a  
16 valuable piece that needs to be included as well. It  
17 has been proposed that maybe we need to come up with a  
18 hybrid approach and also that we perhaps need more  
19 evidence from these human studies.

20 Different things have been suggested  
21 today. Looking at databases that might exist, trying  
22 to get a subset of examples and reanalyzing them to  
23 provide more information to go into this, using an  
24 approach that incorporates other biomarker measures,

1 perhaps weighting those depending on their certainty  
2 for how they reflect chlorpyrifos exposure. There are  
3 mechanisms, we have to stretch ourselves. In the  
4 meantime, when we started this conversation the EPA  
5 presented us with two options and we really only have  
6 focused on one today. The one we have focused on has  
7 been using the Columbia data to lower the PoD.

8 The other option, which we haven't  
9 spent too much time talking about, is sticking with  
10 the current PoD but adding in uncertainty factors.  
11 And that could be a mechanism to reduce that to a more  
12 acceptable level that feels more comfortable to us as  
13 far as protecting our children and our workers. So I  
14 think that those could be other options. You know,  
15 one point of uncertainty about that level has been the  
16 reviews that have been done by the EPA and others that  
17 have been peer reviewed. And that could be enough  
18 evidence to indicate adding an uncertainty factor to  
19 that existing PoD.

20 I'm sure if we put our heads together  
21 we could think of other options as well. Thank you.  
22 It's been interesting. I've learned a lot.

23 **DR. WILLIAM POPENDORF:** Dr. Popendorf  
24 here. And these comments are getting more challenging

1 as one goes around the room, right? And I agree  
2 broadly with basically everything that's been said.  
3 One of the things that I learned is, the reviews that  
4 were done -- I'm sure at the time of the publications  
5 of the raw data, for instance -- in the SAP in 2012 we  
6 didn't have the kinetic model. And you know, having  
7 seen that and having learned the things that it does  
8 in terms of fast rates of metabolism, it just led me  
9 to really reject that cord data. I just can't say it  
10 strongly enough.

11 It's full implications from an  
12 epidemiologic perspective, we've had some discussions,  
13 and I'm not enough of a statistician to really  
14 appreciate the fact that if you put variability into  
15 you know, uncertainty -- this is more uncertainty than  
16 misclassification, that you will always end up with a  
17 less relationship. That may be true in general, but  
18 it's really hard to believe given the fact that in  
19 this case if you're looking at three half lives it's a  
20 16-fold potential reduction.

21 So the data point that you have has  
22 very reasonable reason to be 4X in either direction.  
23 One could -- well, you don't have the data, but I  
24 could envision pulling the data from the graph. I've

1 done that when I didn't have any other reasons to and  
2 sort of access that data and run some simulations with  
3 a 4X variability, see what the outcome is. Do you  
4 still get the same kind of correlations? If you do  
5 then my concerns would be greatly relieved. The other  
6 way to relive them was going with the maternal data.  
7 But I also support the further explorations with  
8 acetylcholine.

9 So I think they're all potential  
10 options. A real challenge right now because you don't  
11 have -- more work to be done. So I appreciate the  
12 work. Appreciate the opportunities to be here. It's  
13 always a good group to be with. Thank you.

14 **DR. STELLA KOUTROS:** I don't have that  
15 much to say other than I thank the agency for inviting  
16 me here and I enjoyed meeting all the panel members or  
17 seeing some of you again. And please feel free to  
18 contact me if you're ever in D.C., or I understand if  
19 you want to run the other way as well. But I just  
20 wanted to say that despite the fact that we cannot  
21 perhaps all agree, I think that just the attempt to  
22 consider the human data, regardless of our  
23 conclusions, is a really positive thing. Thank you.

24 **DR. WILLIAM HAYTON:** I don't have



1 anything very profound to add to all this. These are  
2 great comments. I've enjoyed working on the panel. I  
3 think as far as the pharmacokinetics the PBPK model,  
4 we heard from doctor, is it Hinderliter, who made the  
5 presentation yesterday, his public comment. I think  
6 that was a good comment. I think that the model  
7 building hasn't focused very much on chlorpyrifos and  
8 there's not very much validation data out there. So I  
9 think that could use some focus. Thanks.

10 **DR. WILLIAM FUNK:** Hi, Bill Funk.

11 First, I just want to thank you for having me on the  
12 panel. It's been really informative for me. My  
13 background isn't specifically in pesticides, over the  
14 past couple of weeks I've learned a whole lot. And I  
15 will say it's been a challenge going back and forth as  
16 I've learned more from the past SAP and from the  
17 literature with directions I thought I would go when I  
18 was -- what my recommendations would be. I know this  
19 is a very difficult situation. I think it's very  
20 exciting that epidemiology data is being included in  
21 risk assessments and I think that the Columbia study  
22 and the other ones that we saw were very strong  
23 studies.

24 I personally felt that the cord blood

1 data is strong evidence that prenatal exposures are  
2 associated with health effects we saw several years  
3 out. I think my biggest challenge was taking a single  
4 study but also taking a single biomarker with such a  
5 short biological half life and using that alone to  
6 derive the point of departure. But like everybody  
7 else, I think the levels do need to be lowered. So I  
8 hope we can find a way to do that.

9 **DR. RUSSELL CARR:** This is Russell  
10 Carr, Mississippi State University. Last but not  
11 least. You can't say anything else, man. I want to  
12 thank the agency for the work. And basically, I know  
13 a lot of effort went into this and I'm sure there will  
14 be some good to come out of it. I think that I agree  
15 with Sharon that epidemiology studies are important.  
16 And I think that searching other databases and  
17 especially pay attention to the databases which are  
18 basically associated with the current uses of all  
19 pesticides.

20 I mean we've been talking about the  
21 Columbia study and you know, like we all said that  
22 that really -- unless somebody does something wrong,  
23 that won't happen again because it's been eliminated  
24 from that use. And I understand that we use that as a

1 benchmark. But if we're going to use available data  
2 you need to try to access populations that are  
3 probably likely to be exposed to these compounds and  
4 see what type of work is going on in those areas, and  
5 see if there is any databases there. And I think that  
6 would really help us you know, help us in the lab,  
7 too.

8 I mean it would help us design things  
9 to better answer your questions. And I think that's  
10 part of the problem, when we design these epidemiology  
11 studies and when we design our lab studies, we really  
12 don't have PoD and RFD in our mind. I mean we're  
13 trying to answer a question, and usually -- sometimes  
14 that doesn't help you. And I know reading these --  
15 every time I read in these papers about the amount of  
16 variability between the methodology and you know,  
17 that's just the nature of the beast, we're all  
18 answering different questions.

19 And I think maybe if we start trying to  
20 focus on answering the same questions. I might  
21 actually even put red cell cholinesterase in my assay  
22 from now on.

23 **DR. JAMES MCMANAMAN:** Well, thank you.  
24 Before I turn this back over --

1 DR. PANOS GEORGOPOULOS: I don't know  
2 if I --

3 DR. JAMES MCMANAMAN: Oh, Dr.  
4 Georgopoulos. Good, thank you.

5 DR. PANOS GEORGOPOULOS: First of all,  
6 I want to apologize for doing this thing remotely. I  
7 know how it is inconvenient. And remote connection,  
8 my accent, and my allergies make it, I'm sure, make it  
9 very difficult for some people to follow. So I really  
10 apologize for this. But just a couple of comments.

11 First of all, I would like to commend the agency for  
12 really doing thoughtful work. I mean the EPA is  
13 facing a very serious challenge here. And the  
14 quantitative information is currently very uncertain.

15 They're having to decipher the singer  
16 from the noise here, it's quite a task. I mean this  
17 is something that definitely requires to take -- maybe  
18 it requires a paradigm change, I mean a paradigm shift  
19 in this case in how human health risk assessments are  
20 done. And clearly the timeframe for -- the legally  
21 enforced timeframe, its own, presents challenges that  
22 are insurmountable. But in the long run I think we  
23 are learning a lot of things from this enterprise. I  
24 guess people were talking about the issue of

1 epidemiology. Clearly for the quantitative or in  
2 order to get the numbers there we have one study.

3 But there is a multiplicity of other  
4 studies. And they have just been accumulating over  
5 the years. That should be taken somehow into account  
6 eventually in health risk assessment. And I kept  
7 thinking as we were going through these days oh, EPAs  
8 human health risk assessment strategic research action  
9 plan for 2016 -- 2019, I don't think the word  
10 epidemiology appeared in there. But certainly there  
11 were concepts of integrating information from multiple  
12 sources -- human, animal, mechanistic.

13 And the concept of multi-criteria  
14 decision analysis is integrating multiple sources of  
15 information, qualitative and quantitative, in order to  
16 strengthen risk assessment is fundamental in there.

17 So I know this is a new research strategy plan, 2019,  
18 and here where EPA is facing the need to make  
19 decisions in a very short time frame. But definitely  
20 the situation we are facing today probably is going to  
21 be relevant to a number of other situations.

22 And taking into account the new tools  
23 that are evolving both in terms of modeling, data  
24 analytics, the multi-criteria decision analysis will

1 be essential eventually in bringing together  
2 epidemiology data with in vitro data, qualitative  
3 data. And strengthening how do you do this when you  
4 need to get one specific number for PoD and RFD, I  
5 mean that would be a challenge. But certainly I'm  
6 glad that EPA at least in the longer term strategic  
7 thinking is thinking of multi-criteria decision  
8 analysis for risk assessment.

9 Specially for the case of chlorpyrifos,  
10 it was mentioned just by one of the previous speakers  
11 that those model for chlorpyrifos was discussed  
12 yesterday. Some of the issues of limited evaluation  
13 were brought up. But I think -- I personally probably  
14 have more faith in Dow's model related to chlorpyrifos  
15 metabolites than the research which was developed  
16 initially which was used in a couple of studies and  
17 appears to be consistent with available data.

18 But definitely there is a need to  
19 improve upon the framework and to start including  
20 alternative (inaudible) pathways. Pharmacodynamic  
21 models that are exploratory but they may provide  
22 information for end points other than the  
23 acetylcholinesterase inhibition pathway. And you  
24 know, this is not something that can happen in the

1 short term. But establishing an open framework with  
2 different people in the community can develop their  
3 own modules, test different pharmacodynamic models, do  
4 in vitro, do in vivo extrapolations.

5 And start utilizing, you know, make use  
6 of the variety of in vitro data related to numerous  
7 pathways that keep showing up in the literature. That  
8 will be very useful. The fact that there are  
9 practical difficulties in the wider community using  
10 the original model for chlorpyrifos for the platform  
11 and so on can be overcome. I think it would be a  
12 valuable investment on EPA staff in that respect.  
13 Again, as well as the EPA, there are a number of tools  
14 that are coming out from the ToxCast and ExpoCast  
15 program and even more refined models that really tie  
16 together PBPK modeling and exposure of  
17 characterization.

18 And that could be useful eventually. I  
19 know that some of these tools are much more refined  
20 than the models that have been used for this  
21 particular assessment of exposure in the 2014 health  
22 risk assessment. But again, these are things that are  
23 evolving and can be very useful in the next couple of  
24 years. How EPA is going to address the challenges

1 that they are facing for 2016 is another issue and I  
2 wish you the best for this. I mean it's a major  
3 challenge and I commend you, again, for the effort  
4 that you are putting on this.

5 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
6 Georgopoulos. Okay. Before turning this back to the  
7 agency for their final comments I would sincerely like  
8 to thank my fellow panelists. This has been one of  
9 the more interesting panels that I've had the pleasure  
10 of serving on and I've learned a lot. And actually  
11 it's been a lot of fun. I mean, it's been you know,  
12 fun in a very interesting way. And so it's quite a  
13 pleasure to serve as the session chair. Also like to  
14 thank the agency for all of their hard work in this.

15 I know that they are under a lot of  
16 pressure to try to come up with a solution to this  
17 question and I appreciate the complexity of it and how  
18 hard it is to do this. And really thank you for all  
19 your presentations. They were quite good and quite  
20 informative. And finally, I'd like to thank the  
21 public commenter's. For this particular panel session  
22 or this particular session the public commenter's  
23 often times have important, but scientifically their  
24 comments are not strictly related to the scientific



1 questions that we're addressing.

2 But in this particular instance they  
3 provided a lot of scientific background and a lot of  
4 scientific analyses that were helpful to this panel in  
5 coming up with our deliberations. So I really  
6 appreciate all the hard work that the public  
7 commenter's have put into this. And so with that I'd  
8 also like to thank Fred and his staff and all the help  
9 that they've given us in terms of getting all the  
10 information and getting it to us in a timely way in  
11 which we could evaluate for this problem. So with  
12 that I'll turn it over to the agency.

13 **MS. DANA VOGEL:** Thank you. Yes, we  
14 just want to thank you for all the time and effort  
15 you've spent. Not just in this meeting room but how  
16 you've taken time out of your busy schedule and busy  
17 lives to be here and to review documents. And it's  
18 clear to us how much time and effort you've spent  
19 thinking about or reviewing the materials both in this  
20 meeting and outside of this meeting before you came  
21 here, during the meeting, at night doing power  
22 analyses and other things. We really appreciate the  
23 discussion and hearing all the differing perspectives  
24 and all the time and effort.

1                   It is a challenge for us and we're  
2                   sharing our challenge with you. And we appreciate  
3                   what you've brought to us. So thank you. And I'd  
4                   also like to thank Fred and the SAP staff for putting  
5                   this meeting together. I know a lot of work goes into  
6                   it from their side as well. Thanks.

7                   **MR. FRED JENKINS:** So I'm going to give  
8                   the closing remarks. First of all, I want to thank  
9                   our FIFRA SAP session Chair, Dr. Jim McManaman. You  
10                  did a masterful job chairing this meeting. Thank you  
11                  so much. It's always a pleasure working with you.  
12                  Thank you so much to this panel. Thank you for  
13                  accepting the invitation. Thank you for your  
14                  commitment, your enthusiasm, your hard work throughout  
15                  this entire process. And again, that goes with the  
16                  sentiment of thank you for the sacrifices you made in  
17                  your personal time in coming here to help the agency  
18                  address this important issue.

19                  Thank you to the Office of Pesticide  
20                  Programs under the leadership of Jack Housenger.  
21                  Thanks for all your support and hard work and  
22                  leadership in preparing for this SAP. Thank you to  
23                  Rick Keigwin, Dana Vogel, Director of ACD, Jeff  
24                  Dawson, Anna Lowit, Dana Friedman, Elizabeth Holman,

1 Cecilia Tan, Wade Britton, Rochelle Bohaty, Danette  
2 Drew.

3 Bear with me, some other team members  
4 that supported their work I want to acknowledge.  
5 Ginger Moser, Danelle Lobdell, James Nyugen, Mark  
6 Dyner, Jim Hetrick, Dana Spatz, Jim Cowles, Yu-Ting  
7 Guilaran, Kevin Costello, and Anita Pease. And a  
8 special thanks to all the agency staff and scientists  
9 and managers that supported their work. Thank you  
10 very much for the public commenters for your  
11 participation in this meeting. Thank you to our  
12 contractors for your support in this meeting. And  
13 thank you to all the public who attended and also  
14 listened on our worldwide webcast.

15 And I cannot close without thanking the  
16 FIFRA SAP staff under the leadership of Laura Bailey.  
17 And all of my colleagues which are a tremendous  
18 pleasure to work with. Donald Wood, Scott Lynn,  
19 Shirley Percival, Joyce Coates, Steve Knott, and  
20 Barbara Ewell.

21 And the last important note that I  
22 needed to just remind you all FIFRA SAP report will be  
23 ready within 90 days at the close of this meeting.  
24 This meeting is officially adjourned. Everyone please

1 have a nice evening and a good weekend.

2 (Whereas the meeting was adjourned)

3