

FIFRA SCIENTIFIC ADVISORY PANEL (SAP)

OPEN MEETING

FEDERAL INSECTICIDE, FUNGICIDE, AND

RODENTICIDE ACT

DOCKET NUMBER: EPA-HQ-OPP-2016-0385

FIFRA SAP WEBSITE <http://www.epa.gov/sap>

OPP Docket Telephone: (703) 305-5805

UNITED STATES ENVIRONMENTAL

PROTECTION AGENCY

CONFERENCE CENTER LOBBY LEVEL

ONE POTOMAC YARD (SOUTH BUILDING)

2777 SOUTH CRYSTAL DRIVE

ARLINGTON, VIRGINIA 22202

DECEMBER 13 - 16, 2016

1 **MR. STEVEN KNOTT:** We're going to go
2 ahead and get started. Good morning and welcome to
3 this weeks' meeting of the FIFRA Scientific Advisory
4 Panel to review EPA's evaluation of the carcinogenic
5 potential of glyphosate. My name is Steve Knott and I
6 will be serving as a Designated Federal Official to
7 the FIFRA SAP for this meeting.

8 I'd like to thank Dr. James McManaman
9 for serving as the chair of this session. I also want
10 to thank both the members of the panel and the public
11 for attending this important meeting. We appreciate
12 everyone's time and effort and particularly preparing
13 for these panel discussions, taking into account
14 everyone's busy schedules. In addition, I want to
15 thank the Office of Pesticide Programs and my
16 colleagues on the FIFRA SAP staff for all of their
17 work in preparing for this important review.

18 As additional background, the FIFRA SAP
19 is a Federal Advisory Committee that provides
20 independent scientific peer review regarding the
21 impact of pesticides regulatory actions on human
22 health and the environment. The FIFRA SAP provides
23 advice and recommendations to the EPA. Decision
24 making authority and implementation authority remains

1 with the agency. The panel's advice and
2 recommendations are not final action.

3 The SAP consists of seven members. The
4 expertise of these members is augmented through what
5 is known as the Food Quality Protection Act Science
6 Review Board. The Science Review Board members serve
7 as ad hoc temporary participants in FIFRA SAP
8 activities providing additional scientific expertise
9 and assisting in reviews conducted by the panel.

10 As a DFO for this meeting, I serve as a
11 liaison between the FIFRA SAP and the agency. And I'm
12 also responsible for ensuring that the provisions of
13 the Federal Advisory Committee Act are met.

14 The Federal Advisory Committee Act of
15 1972 established a system that governs the creation,
16 operation and termination of Executive Branch Advisory
17 Committees. The FIFRA SAP is subject to all of FACA's
18 requirements and these include open meetings, timely
19 public notice of meetings and document availability,
20 which is provided through the Office of Pesticide
21 Programs, public docket at www.regulations.gov.

22 As the designated federal official for
23 this meeting, a critical responsibility is to work
24 with appropriate agency officials to ensure that all

1 ethics regulations are satisfied. In that capacity,
2 panel members received training on provisions of
3 federal conflict of interest laws. In addition, each
4 participant has filed a standard government financial
5 disclosure report.

6 I, along with our Deputy Ethics Officer
7 for the Office of Science Coordination and Policy, and
8 in consultation with our Office of General Counsel,
9 have reviewed these reports to ensure that all ethics
10 requirements are met. And a sample copy of this form
11 is available on the FIFRA SAP website. This website
12 is noted on the meeting agenda.

13 The FIFRA SAP will review challenging
14 scientific issues over the next four days. We have a
15 very full agenda and the meeting times are
16 approximate. Thus, we may not keep to the exact times
17 as noted due to panel discussions and public comments.

18 I would ask that presenters, panel
19 members and public commenters please identify
20 yourselves when you present. And speak into the
21 microphones provided since this meeting is being
22 webcasted, transcribed and audio recorded.

23 Copies of all EPA presentation
24 materials and written public comments are available in

1 the public docket at regulations.gov. And copies of
2 the presentation materials submitted by public
3 commenters during this week should be available within
4 the next week.

5 For members of the public that have not
6 preregistered for public comments, please notify
7 either me or another member of the FIFRA SAP staff if
8 you are interested in making a comment. At this time,
9 the agenda is full. However, as we move through the
10 proceedings, if time allows, we may be able to
11 accommodate additional brief comments.

12 As I mentioned previously, there is a
13 public docket for this meeting which is noted on the
14 agenda. All of the background materials, the
15 questions posed to the panel by the agency and other
16 documents related to this meeting are available in the
17 docket. Some of these documents are also available on
18 the SAP website, which is also noted.

19 For members of the press, EPA media
20 relations staff are available to answer your
21 questions. You may contact me or another member of
22 the SAP staff for further information.

23 At the conclusion of the meeting, the
24 FIFRA SAP will prepare a report as a response to

1 questions posed by the agency, the background
2 materials, the presentations and public comments.

3 This report serves as the meeting minutes. We
4 anticipate that these minutes will be completed
5 approximately 90 days after the meeting.

6 So once again, I would like to thank
7 the panel and the members of the public for being here
8 today. I'm looking forward to a very interesting
9 discussion over the next four days and at this time I
10 would like to turn it over to our chair, Dr.
11 McManaman. Thank you.

12 **DR. JAMES MCMANAMAN:** Good morning and
13 welcome to this session. As Steve pointed out, we
14 have a very full schedule and so I appreciate
15 everybody's efforts to really be precise and very
16 timely. For public presenters, if you're here, be
17 ready to present your material.

18 I think that this is a very exciting
19 topic and it's a very somewhat controversial topic so
20 we'll try to stay on schedule so that we can
21 accommodate everybody's availability to present about
22 this and to elaborate on the importance of this topic.

23 With that, I'm going to ask the other
24 panel members to introduce themselves but to begin,

1 I'm Jim McManaman. I'm a professor at the University
2 of Colorado and I'm chairing this session.

3 **DR. MARION EHRICH:** I'm Marion Ehrich
4 from Virginia Tech College of Veterinary Medicine in
5 the College of Medicine and I'm a permanent panel
6 member. I teach pharmacology and toxicology.

7 **DR. DAVID JETT:** Hello. I'm Dave Jett,
8 I'm from the National Institutes of Health. I'm the
9 Director of the Chemical Defense Program there and I'm
10 also an adjunct Professor of Toxicology at the
11 University of Maryland, School of Medicine.

12 **DR. JOSEPH SHAW:** I'm Joe Shaw. I'm a
13 molecular toxicologist from Indiana University and I'm
14 a permanent panel member.

15 **DR. SONYA SOBRIAN:** Good morning. I'm
16 Sonya Sobrian. I'm a neuro-pharmacologist from the
17 Howard University College of Medicine and I'm a
18 permanent panel member.

19 **DR. KENNY CRUMP:** Good morning. My
20 name is Kenny Crump. I'm a statistician. I'm
21 partially retired at this time.

22 **DR. LAURA GREEN:** Good morning. I'm
23 Laura Green. I'm a chemist and toxicologist.

1 **DR. ERIC JOHNSON:** Good morning. I'm
2 Eric Johnson. I'm an epidemiologist from the
3 University of Arkansas for Medical Sciences.

4 **DR. BARBARA PARSONS:** Good morning.
5 I'm Barbara Parsons from US FDA's National Center for
6 Toxicological Research where I work in the Division of
7 Genetics and Molecular Toxicology.

8 **DR. ARAMANDLA RAMESH:** Good morning. My
9 name is Aramandla Ramesh. I'm an Associate Professor
10 of Biochemistry and Cancer Biology at Meharry Medical
11 College and also Director of graduate studies in
12 Pharmacology there.

13 **DR. LUOPING ZHANG:** I'm Luoping Zhang
14 from School of Public Health, University of California
15 at Berkeley, and I'm also a toxicologist.

16 **DR. DAN ZELTERMAN:** My name is Dan
17 Zelterman. I am a biostatistician, Professor of
18 Biostatistics at Yale University in New Haven,
19 Connecticut.

20 **DR. EMANUELA TAIOLI:** Good morning.
21 I'm Emanuela Taioli. I'm a cancer epidemiologist,
22 Mount Sinai, School of Medicine in New York.

1 **DR. LIANNE SHEPPARD:** Hello. My name
2 is Lianne Sheppard and I'm a biostatistician from the
3 University of Washington in Seattle.

4 **DR. JAMES MCMANAMAN:** Okay. Thank you,
5 panel members. With that I think that we'll go to the
6 agency and have the first presentation. Dr.
7 Housenger.

8 **DR. JACK HOUSENGER:** I guess that's me.
9 Well, welcome everybody. Let me first apologize for
10 the late time in the year that this has occurred. It
11 seems like it's been forever coming but it's finally
12 here. And I appreciate everybody's efforts and in
13 advance I want to thank everybody for their careful
14 deliberations on this.

15 Obviously, it's a controversial
16 subject. It's one that's garnered a lot of public
17 attention probably because two competing organizations
18 have labeled glyphosate differently. We've looked at
19 a lot of the studies that each of the organizations,
20 over time, have evaluated in terms of carcinogenicity,
21 put together a white paper and as you'll see there's a
22 lot of information out there that I think we've done a
23 good job of analyzing, doing a weight of evidence.

1 But now it's your turn to kind of tell
2 us what you think of our analysis and hopefully put
3 the subject to bed so we can move on. Glyphosate's a
4 very important agricultural chemical. It's also used
5 in the household so there's a lot of interest in what
6 this panel has to say. There's also a lot of public
7 comments that I know people have signed up for;
8 probably more than I've seen in the past, which will
9 probably push us into Friday, which usually doesn't
10 happen.

11 I would just say to those people
12 commenting, keep the comments short. Make your point
13 because we do want this panel to deliberate and answer
14 the charge questions and have enough time to do that.

15 Thank you very much and good luck.
16 I'll see you around, I have to leave for another
17 meeting.

18 **DR. JAMES MCMANAMAN:** Okay. Dana Vogel
19 is up next.

20 **DR. DANA VOGEL:** Good morning. Yes, my
21 name is Dana Vogel and I'm the Director of the Health
22 Effects Division in the Pesticide Program. My
23 presentation this morning is really going to be kind
24 of short. I'll let you get to the meat of the

1 presentation. I'm just going to introduce the topics
2 and what we're going to be discussing this week.

3 To begin, as Jack mentioned, glyphosate
4 is registered for use for weed control in a variety of
5 settings, both agricultural and nonagricultural and
6 that's been the case since it was first registered in
7 the 70s. In addition to the analyses -- the human
8 health risk assessments that we complete prior to each
9 new use of this chemical being registered -- there was
10 a complete reevaluation done under the reregistration
11 program in 1993. And currently glyphosate is
12 undergoing registration review which is a program
13 under the FIFRA Act where we reanalyze all pesticides
14 every 15 years.

15 As you may know, the docket opening for
16 registration review occurred in 2009, and at that time
17 we published our Human Health Scoping document which
18 kind of outlines the lay of the land for that
19 chemical, what we know, what we don't know and our
20 preliminary work plan. Kind of setting up what work
21 we think we need to do and the general timeframe.

22 Moving on, I just thought I'd briefly
23 touch on the previous carcinogenicity evaluations that
24 we've done as an agency. In 1995, glyphosate was

1 categorized as a Group C possible human carcinogen
2 based on the presence of kidney tumors in mice.

3 In 1996, we did bring the carcinogenic
4 classification to FIFRA's Science Advisory Panel to
5 get their feedback and determine whether the kidney
6 tumors -- what their thought on that was and they were
7 determined, at that point, to be equivocal. And the
8 SAP recommended a Group D, not classifiable as to
9 human carcinogenicity at that point; also, at that
10 point, advised the agency to issue a data call-in, and
11 asked for further studies concerning this question.

12 By 1991, we received two additional rat
13 studies and that was the data that we had called in.
14 And it was classified based on that new data as a
15 Group E, evidence of non-carcinogenicity to humans.

16 Okay. As Jack mentioned in his
17 presentation, and as you are probably aware, there are
18 two different -- currently that have happened
19 recently, evaluations of glyphosate that are not
20 necessarily in agreement. The IRAC in 2005 classified
21 glyphosate as a Group 2A, probable human carcinogen.

22 And as well as that, after the IRAC we
23 also did, as an agency, a cancer assessment review and
24 took it to our CARC committee. And at that point,

1 based on the evidence and the data that we had, we
2 considered it, based on our guideline studies and the
3 literature studies that were available, to be a not
4 likely human carcinogen, which was in accordance with
5 what we had previously considered and determined for
6 glyphosate.

7 That brings us to why we're here today.
8 Because of those differences in interpretation -- we
9 are here today to give you what we decided to do as an
10 agency, is comprehensively go back and look at all the
11 available data that informed the carcinogenic
12 potential that we could avail ourselves of. And we
13 comprehensively analyzed that data to inform the
14 carcinogenic potential of glyphosate. That includes
15 epidemiological data, animal data, genotox data, as
16 well as metabolism mechanistic data. That's the basis
17 of this SAP and what we'll be discussing this week.

18 In conclusion, just quickly going
19 through what you're going to be hearing about; an
20 overview of the registration and carcinogenic
21 potential, how we did our systematic review; that
22 includes what data we collected, how we evaluated each
23 of the different types of data that we had.

1 You'll hear about how we evaluated the
2 epi data, how we evaluated the animal data, how we
3 evaluated the genotoxic data. And finally, how we
4 integrated all that data together and our weight of
5 evidence across all those multiple lines of evidence
6 in a systematic way. And I believe that's the end of
7 my presentation.

8 **DR. JAMES MCMANAMAN:** Thank you. Any
9 panel members have questions for Dr. Vogel?

10 **DR. LIANNE SHEPPARD:** Yes. This is
11 Lianne Sheppard and my question is, can you give me a
12 precise definition of carcinogenic potential?

13 **DR. MONIQUE PERRON:** So I wouldn't say
14 there's an exact definition. Sorry, my name is
15 Monique Perron in the Health Effects Division.
16 Basically, we're looking at all the available data to
17 see if there is any indication that this chemical has
18 the potential to cause tumors in mammals; in
19 particular, in humans, considering that we'll be using
20 this information for human health risk assessment.

21 **DR. ANNA LOWIT:** Hi. My name is Anna
22 Lowit. I'm a Senior Science Adviser here, in OPP.
23 EPA uses the 2005 EPA Cancer Guidelines. And you'll
24 hear in one of the presentations later of how the

1 glyphosate data fits within the guidelines. And so,
2 we use the guidelines as the organizing principles for
3 how we assess different lines of evidence as it
4 relates to cancer potential.

5 **DR. DANA VOGEL:** And just one more
6 thing I wanted to add. Part of the way we do our risk
7 assessments is we're considering the doses at which
8 we're trying to see whether or not it's relevant to
9 humans at the doses we believe they'll be exposed to.
10 And I think that that's an important part of our risk
11 assessment.

12 **DR. LIANNE SHEPPARD:** So to clarify --
13 but this is not a risk assessment. This is evaluation
14 of carcinogenic potential, correct?

15 **DR. DANA VOGEL:** Yes. This is the
16 evaluation of the carcinogenic potential to humans.
17 And in our minds, part of what we consider that is --
18 we make a consideration as to whether or not there's
19 carcinogenic potential at doses that are relevant to
20 humans based on how people are going to be exposed.

21 **DR. LIANNE SHEPPARD:** So based on your
22 answer there is an element of considering human
23 exposure in the carcinogenic potential?

24 **DR. DANA VOGEL:** Yes.

1 **DR. LIANNE SHEPPARD:** Because to me
2 that comes in risk assessment.

3 **DR. ANNA LOWIT:** So EPA is a risk-
4 assessment organization. We're not a hazard-based
5 organization. Unlike IRAC, for example, that
6 evaluates pure hazard. EPA is a risk-assessment based
7 organization so exposure is important as we can think
8 about the potential for humans to be exposed. And
9 that's one of the big distinctions between how EPA
10 assess cancer and IRAC does.

11 **DR. JAMES MCMANAMAN:** Okay, that was
12 Dr. Sheppard and Dr. Lowit. All right, other
13 questions? Okay, I think we'll move on then. Dr.
14 Perron.

15 **DR. MONIQUE PERRON:** Thank you. My
16 name is Monique Perron. I'm in the Health Effects
17 Division of the Office of Pesticide Programs. I'm
18 going to walk through an overview of the registration
19 and carcinogenic potential; evaluations that have been
20 done for glyphosate. I'll touch upon many of the
21 points that Dana just went over and add a bit more
22 information along the way.

23 Just a quick outline of what I'll be
24 going over. As I mentioned, we'll talk about the

1 registration background of glyphosate as well as the
2 exposure profile for glyphosate in the United States.
3 And then again, walk through the previous evaluations
4 that have been conducted by EPA of the carcinogenic
5 potential.

6 Glyphosate was first registered in
7 1974, as a non-selective herbicide to control weeds in
8 various agricultural and nonagricultural settings. It
9 is currently undergoing registration review which is a
10 program where all registered pesticides are reviewed
11 at least every 15 years to ensure chemicals continue
12 to meet standards for registration. As part of this
13 process, the hazard and exposure of glyphosate are
14 reevaluated to determine its potential risk to human
15 and environmental health.

16 It may be used on numerous food crops
17 and also has labeled uses in nonagricultural setting
18 such as aquatic and residential areas. Glyphosate is
19 also registered for use on glyphosate resistant crops
20 such as corn, soybean and cotton. Herbicide tolerant
21 crops are engineered to have a tolerance to specific
22 herbicides such that the herbicide kills the
23 surrounding weeds while leaving the crop intact. And

1 these crop varieties were first introduced around
2 1996.

3 Following initial registration of
4 glyphosate, total use was approximately 1.4 million
5 pounds. By 1995 the use had increased to about 40
6 million. And by 2000, after the introduction of
7 glyphosate tolerant crops, total use was about 280 to
8 290 million with agricultural use accounting for 90
9 percent of that.

10 This graphic is actually just the
11 agricultural use and depicts moments in time when
12 glyphosate resistant crops were introduced. Another
13 thing to note is that in recent years you'll see the
14 stabilization and that is primarily due to the
15 increase in weed resistant species. And although
16 there may be an increase in the number of farmers
17 using glyphosate, the dramatic increase in use is more
18 likely attributable to individuals who already used a
19 pesticide, increasing their use and subsequent
20 exposure.

21 The introduction of the glyphosate
22 tolerant crops changed the use pattern for this
23 chemical such that it shifted from pre-emergent use
24 only to a combination of pre-and post-emergent use.

1 There was also an increase in the application rate and
2 the number of applications that could be performed per
3 year. Furthermore, individual farms also increased
4 the acreage that they dedicated to these glyphosate
5 tolerant crops; particularly since this coincided with
6 the use of corn for ethanol production as well.

7 Here we have a map of the estimated
8 agricultural use in the United States in 1994. This
9 was generated by the US Geological Survey so this
10 would have been prior to the introduction of
11 glyphosate tolerant crops.

12 The same map generated for 2014 you see
13 much higher use and you see also that the use is
14 approximately all in the same areas that were depicted
15 in the previous map. So again, for agricultural
16 purposes the same areas are still being treated.

17 Based on its use pattern, there are
18 several anticipated routes of exposure for humans.
19 Glyphosate is used on agricultural crops for
20 consumption and application may result in glyphosate
21 reaching drinking water. As a result, exposure is
22 expected via the dietary route.

23 Additionally, there are several
24 products available for use in residential settings

1 where people may be exposed to glyphosate when they're
2 applying the pesticide themselves or when they enter
3 an area that has been treated previously. Workers may
4 also be exposed while handling the pesticide prior to
5 application, during application or when they are
6 entering the treated sites.

7 Oral exposure is considered the primary
8 route of concern for glyphosate. Metabolism studies
9 have demonstrated relatively low absorption of the
10 chemical with negligible accumulation in tissues and
11 rapid excretion of the chemical primarily as unchanged
12 parent. Due to its low vapor pressure, inhalation
13 exposure is expected to be minimal and the dermal
14 penetration information that we have via human skin
15 has showed low dermal penetration indicating low
16 dermal exposure is expected.

17 Furthermore, we have route-specific
18 studies with glyphosate that show that no adverse
19 effects were observed in either the inhalation or
20 dermal toxicity studies. And all of this suggests
21 that there is low potential for a sustainable
22 biological dose following glyphosate exposure.

23 The agency has calculated high
24 estimates of exposure based on the currently

1 registered uses of glyphosate. We use standard
2 exposure assessment methodology, which are based on
3 peer-reviewed and validated exposure data and models
4 to obtain these estimates.

5 In residential or non-occupational
6 settings, we expect children one to two to be the most
7 highly exposed subpopulation, with oral exposure from
8 dietary and incidental exposure, which would be a hand
9 to mouth activity, for example, as well as dermal
10 exposure from entering previously treated areas.

11 A high-end estimate for this
12 subpopulation would be about 0.47 mg/kg/day. We would
13 then expect adults to be even less than this. It
14 should be noted that these estimates are based on
15 maximum label rates that are applied to turf and
16 assume that individuals are exposed every day to the
17 residues on the day that you applied.

18 Also, these calculations assume that
19 individuals are engaging in post-application
20 activities on the turf for the maximum amount of time
21 that children are considered to spend time outdoors.
22 And in actuality, children do not spend all of their
23 time on the turf. And as a result, these high-end
24 estimates are considered conservative and very likely

1 that the true exposure would be less than these
2 values.

3 For workers, there are several
4 variables that may impact an individual's exposure.
5 These include the formulation that's being used, the
6 specific task, the rate of application and the number
7 of acres being treated. And similar to residential
8 assessment, the agency uses standard exposure
9 assessment methodologies which have been peer reviewed
10 and validated. And the exposure data and models have
11 been validated to obtain the exposure estimates.

12 Assuming the maximum application rate
13 for a high-acreage crop of 60 pounds per acre and
14 assuming workers are not wearing any protective
15 equipment, high-end estimates range from 0.03-7
16 mg/kg/day. And again, these values incorporate
17 several conservative assumptions yielding values that
18 are most likely overestimating true exposures.

19 As we discussed the numerous animal and
20 genotoxicity studies later today, I would like you to
21 keep these exposure estimates in mind. Administered
22 doses in many of those studies went up to 1000 and in
23 some cases 5000 mg/kg/day. And just to kind of put
24 that into some perspective, we put together a few

1 calculations to determine how much an 80 kg or a 175-
2 pound person would need to ingest to reach 1000
3 mg/kg/day.

4 And keep in mind that all pesticide
5 products provide critical information on how to safely
6 and legally handle and use pesticide products.

7 Pesticide labels are legally enforceable and all carry
8 the statement that it's a violation of federal law to
9 use this product in a manner inconsistent with its
10 labeling. In other words, the label is the law. One
11 of the key functions is to manage the potential risk
12 that people will endure from pesticide exposure.

13 Using currently registered use labels,
14 the drinking water value at this time has been modeled
15 at 0.159 ppm based on a direct application to water.
16 And in order to get 1000 mg/kg/day a person would need
17 to drink over 130 thousand gallons per day.

18 We can do a similar calculation for
19 crops using tolerance levels which are the maximum
20 amount of residue legally allowed in or on a crop
21 commodity. Just for an example we chose carrots. And
22 so, for carrots the tolerance level is 0.5 ppm. And
23 assuming every carrot has this maximum amount of
24 residue -- and in this case, we assumed a 70-gram

1 carrot just in case anybody wants to check the math --
2 a person would need to eat over 2 million carrots a
3 day in order to achieve that dose.

4 So as Dana walked through earlier today
5 there have been several evaluations of the
6 carcinogenic potential of glyphosate. The first was
7 in 1985 when it was classified as a Group C chemical
8 based on the presence of kidney tumors in male mice.
9 The subsequent SAP evaluation recommended a Group D
10 chemical classification and advised the agency to
11 issue a data call-in for additional studies.

12 With the submission of additional
13 studies the agency then classified it, in 1991, as a
14 Group E chemical, evidence of non-carcinogenicity for
15 humans. And most recently, in September 2015, another
16 review was performed by the Cancer Assessment Review
17 Committee, or CARC, as part of a registration review.
18 This evaluation considered relevant data available at
19 the time, including studies submitted by the
20 registrants as well as studies published in the open
21 literature. And glyphosate was classified as not
22 likely to be carcinogenic to humans.

23 In the current evaluation, a
24 comprehensive analysis of the available data for

1 glyphosate was performed. The 2015 CARC evaluation
2 served as an initial analysis. A systematic review of
3 the open literature and toxicological databases was
4 undertaken to identify relevant epidemiological animal
5 carcinogenicity and genotoxicity studies. Metabolism
6 and potential mechanistic studies were also
7 considered. And all of the relevant data were then
8 integrated and analyzed across multiple lines of
9 evidence in a weight-of-evidence approach.

10 Before I conclude, I just want to note
11 that for glyphosate human health risk assessment, both
12 non-cancer and cancer effects are evaluated by the
13 agency. However, the focus of this SAP will be on the
14 human carcinogenic potential of glyphosate only.

15 And with that I will take any questions
16 before moving on to the systematic review and data
17 collection presentation.

18 **DR. JAMES MCMANAMAN:** Thank you. Dr.
19 Green?

20 **DR. LAURA GREEN:** Thank you Dr. Perron
21 for that very interesting presentation. I have a
22 couple questions if I may, in no particular order.
23 First, when you say absorption across the gut is
24 relatively low -- I think you gave a number of 30

1 percent -- are you speaking of glyphosate acid or the
2 glyphosate isopropylamine conjugate?

3 **DR. MONIQUE PERRON:** Most of the
4 metabolism data that we have available have been on
5 the acid and have indicated most of them are about 20
6 to 30 percent. We did have one study that indicated
7 40 percent is possible, but relatively comparable to
8 other metabolism studies that we've seen on
9 pesticides.

10 **DR. LAURA GREEN:** And do you have any
11 absorption data at all on the glyphosate
12 isopropylamine conjugate?

13 **DR. MONIQUE PERRON:** Not that we're
14 aware of.

15 **DR. LAURA GREEN:** So a theme that I
16 think is going to come up is -- and again excuse me
17 because I'm a chemist so I see things through the lens
18 of chemistry, I appreciate the agency's dilemma here -
19 - the active ingredient is the glyphosate anion.
20 However, there's a reason I expect that most of the
21 commercial products are as the isopropylamine
22 conjugate or another conjugate.

23 Those are expected to have very
24 different properties in terms of water solubility and

1 obviously in terms of isoelectric point, and therefore
2 presumably in terms of absorption across the gut. So
3 I, at least, see an important data gap in that people
4 in the real world, and of course crops in the real
5 world, are not exposed to the unconjugated acid.

6 I don't know what your office's policy
7 is with regards to how you separate out the chemistry
8 of the anions from the chemistry of this (inaudible)
9 anion in this case but I at least -- and I don't know
10 about my other fellow panelists -- but I at least
11 would urge you to think about those differences in
12 chemistry which presumably translates into differences
13 in -- well, certainly in terms of water solubility, in
14 terms of isoelectric points, in terms of ability to be
15 absorbed across the gut.

16 And I have one other question then I
17 won't monopolize your time, I'm sorry. The data that
18 you gave suggests that perhaps the epidemiologic
19 studies are not actually looking at the most highly
20 exposed groups. And Professor Sheppard and others,
21 I'm sure, are going to weigh in on this later and I'm
22 certainly not an epidemiologist. But if you're right
23 that it's toddlers who are the most highly exposed
24 group, and yet all of our epidemiologic evidence is on

1 farmers who presumably are grownups, I guess I wonder
2 if you could comment on whether you think that's a
3 data gap or not?

4 **DR. JAMES MCMANAMAN:** Okay before
5 commenting, just want to remind everyone; the way this
6 session is going to work is that during this period
7 we're going to be asking clarification questions. And
8 discussion of the approaches should be left until we
9 address the charge questions.

10 And in order to make sure that this
11 runs smoothly, I'd like everyone to adhere to that so
12 that we can move through this as quickly as possible
13 and really get at the issues as you brought up, Dr.
14 Green, during the charge question discussion, because
15 those are very important issues.

16 So just to remind everyone, this
17 portion of the session is meant for clarification
18 only. Okay? All right, other questions?

19 **DR. DANA VOGEL:** So can we just comment
20 -- can we just respond to that one. Because I don't
21 want there to be a misunderstanding. It's not that
22 children aren't more highly exposed than workers.
23 When we do our residential assessments, you know for
24 the residential products because they are younger and

1 they exhibit hand to mouth exposure, they're exposed
2 orally and they have that exposure. But workers are
3 going to be exposed to a lot higher amount than anyone
4 in the residential environment.

5 **DR. JAMES MCMANAMAN:** Okay. Thank you,
6 Dr. Vogel. All right, Dr. Crump?

7 **DR. KENNY CRUMP:** In your review of the
8 exposure information you didn't mention anything about
9 exposures to production workers. Do you have any
10 information on those kinds of exposures?

11 **DR. DANA VOGEL:** So production is kind
12 of out of our scope of work. What we cover as the
13 pesticide program are workers, mixer/loaders and
14 applicators. As well as how people could be exposed
15 in the occupational environment through handling, as
16 well as post application, as well as residential. We
17 don't do assessments for production workers. That's
18 covered under a different area.

19 **DR. JAMES MCMANAMAN:** Dr. Taioli?

20 **DR. EMANUELA TAIOLI:** That exercise
21 about the carrot and the water is actually very
22 interesting. I'm wondering if you tried to build like
23 a daily dietary pattern of how much would be in like a
24 2000 calorie diet of a person who is 80kg because

1 actually, that would be really a good understanding of
2 how much is the exposure for a person who eats.

3 **DR. MONIQUE PERRON:** That was actually
4 just for demonstration purposes. I didn't want to
5 confuse by adding in tolerance levels for every crop
6 that this is used. Actually, that is basically what
7 our assessments do when we're doing risk assessments.
8 In the case of glyphosate, we actually assume
9 tolerance level for every crop commodity at this time
10 to evaluate risk. It's actually quite unrefined.

11 In addition to that, we also assume
12 that 100 percent of the crop has been treated which in
13 most cases that's also not true. That was just for
14 demonstration purposes for people to understand that
15 those are very large doses that we'll be discussing
16 throughout today and the rest of this week.

17 **DR. JAMES MCMANAMAN:** Thank you.

18 **DR. DANA VOGEL:** One more clarification
19 on that. In addition to doing a dietary food exposure
20 we add the drinking water into that as well as any
21 potential residential exposure to do an aggregate
22 exposure assessment. That's part of our risk
23 assessment.

1 DR. JAMES MCMANAMAN: Okay. Yes, Dr.
2 Sheppard?

3 DR. LIANNE SHEPPARD: So I wanted to
4 just clarify. When you talked about routes of
5 exposure you talked about dietary, residential and
6 occupational. But with respect to residential and
7 occupational, is it also dietary, or is it dermal, or
8 is it inhalation, or what exactly is it?

9 DR. MONIQUE PERRON: For all of our
10 assessments, we first do a dietary assessment on its
11 own, which includes food and drinking water as Dana
12 just mentioned. In addition, we will do in
13 residential settings, for children, we do an
14 incidental oral assessment. Hand to mouth, object to
15 mouth as well as dermal exposures from going into
16 treated areas.

17 Those are then aggregated with the
18 dietary. Then you would also get a combined exposure
19 as well at that point. It's all of those routes of
20 exposures.

21 DR. DANA VOGEL: And worker inhalation
22 and dermal.

1 DR. MONIQUE PERRON: Oh, yeah, sorry.

2 And then in the occupational setting we also look at
3 dermal and inhalation exposures as well.

4 DR. DANA VOGEL: And inhalation for
5 residential.

6 DR. MONIQUE PERRON: Oh, I'm sorry.
7 Did I miss inhalation? I should have just let Dana
8 answer that one, sorry. So yes, I missed. We also do
9 look at inhalation and dermal exposures for
10 residential, people applying the chemical as well.

11 So again, for aggregate, I was focusing
12 on children because they're the most highly exposed.
13 But there are also the handlers, the adults who would
14 be applying it, and also who could potentially at post
15 application. Typically, the handlers will have higher
16 exposures though. So again, we would aggregate the
17 highest potential exposure with their dietary to get a
18 worse-case estimate of their potential exposure.

19 DR. LIANNE SHEPPARD: Okay thank you.
20 And maybe just to make sure I fully understand what's
21 important with respect to exposure, you said the
22 workers are the most highly exposed population. And
23 that route of exposure is believed to be dermal?
24 Oral?

1 DR. DANA VOGEL: We evaluate a couple
2 different -- it could be different for different
3 chemicals. Fumigants you might think inhalation would
4 probably be more highly exposed. But we evaluate
5 dermal and inhalation for people handling the
6 pesticide, mixing/loading and applying. We also do
7 post-application exposure assessments and that's
8 mainly dermal. We also do spray drift assessments
9 which have a component as well. We'll do drift to,
10 you know, kind of offsite as well.

11 There's a lot of different pieces of
12 it. It's kind of chemical specific. But a lot of
13 times for this specific chemical -- I mean I think
14 there's exposure that we evaluate because we're kind
15 of trying to stay toward the cancer avenue here; we're
16 talking about cancer. But at the same time, we'll
17 look at the exposure that you get, inhalation and
18 dermally, and use all that exposure together, if we
19 were to calculate quantitatively a cancer risk
20 estimate.

21 But by and large it's all potential
22 routes that people could be exposed to through that
23 occupational type of work, depending upon what their
24 work is.

1 **DR. LAURA GREEN:** Excuse me, but I'm
2 still confused.

3 **DR. JAMES MCMANAMAN:** Okay, one second.
4 That was Dr. Perron, Vogel and Sheppard in that
5 discussion. Okay, Dr. Green?

6 **DR. LAURA GREEN:** Dr. Perron can you
7 please put back up your slide that showed the
8 mg/kg/day estimates for exposure for the different
9 groups. Yes, please. Okay, so as I read this,
10 perhaps incorrectly, you have a half a mg/kg/day for
11 toddlers, right? And you have that as a point
12 estimate and it's a high-end estimate. Okay.

13 And then if you go to the second to
14 bottom row and bottom row, you have a breathtakingly
15 large range from not 0.03-7 mg/kg/day. And I assume
16 that range is because there are many different ways of
17 mixing and loading Roundup, right? But clearly what I
18 took from this was that the reason your high-end
19 estimate for your toddlers is high is because kids eat
20 three times a day. And pesticide applicators do not
21 apply Roundup three times a day.

22 And as I understand it, glyphosate is
23 not very volatile and not very well absorbed across

1 the skin, but obviously, food is food. Am I missing
2 something?

3 **DR. JEFFREY DAWSON:** Hello. I'm Jeff
4 Dawson, I'm in the Health Effects Division and my
5 background is exposure assessment. I'll try to answer
6 what I think is the question.

7 When we look at the residential -- we
8 do risk assessments and exposure component as Dr.
9 Vogel said. We are looking at all different elements
10 of how a chemical can potentially be used. And these
11 estimates up here are just our view of the potential
12 highest levels of exposure that could occur in
13 different segments of the glyphosate market. If
14 you'll look at it from that perspective.

15 And then with the first set of bullets
16 up there, I believe that is looking at just the non-
17 dietary exposure components.

18 **DR. LAURA GREEN:** It says oral exposure
19 from dietary ingesting.

20 **DR. JEFFREY DAWSON:** Oh, okay. But
21 when we do those calculations, typically the dermal
22 and the hand to mouth component is usually a much
23 greater contributor to that overall number. And we
24 can find out exactly the specific contributors to

1 that. But I suspect that if you look at the dietary
2 and the hand to mouth and the dermal piece that the
3 dietary piece, which includes drinking water, would be
4 a small contributor to the overall exposure.

5 And then the same is true for the
6 occupational piece where that high-end estimate for
7 mixers/loaders -- because glyphosate can be used in
8 such a wide range of situations -- that's looking
9 across the whole universe of how it could be legally
10 used, which is dependent upon the crop and the
11 cultural activities. And that's just the range of
12 estimates.

13 **DR. LAURA GREEN:** I understand. But
14 unless I'm misunderstanding, which I certainly could
15 be, the fact is that the data on this slide seem to
16 suggest that toddlers are, on the order, ten times or
17 more highly exposed to glyphosate than your bottom row
18 applicators. Am I right?

19 **DR. JEFFREY DAWSON:** Well, the
20 mixers/loaders are 7 mg/kg.

21 **DR. DANA VOGEL:** Can I try? Sorry,
22 just to kind of explain. Here's what we're trying to
23 say here. If you look at all the different potential
24 exposures you could have from an occupational use,

1 like you said, there's a wide variety of use that goes
2 on agriculturally. And what we're trying to show in
3 this slide is -- what we'll normally do is we'll do a
4 calculation for mixers/loaders separately from
5 applicators.

6 As you see on this slide for
7 mixers/loaders the high end of that is 7 mg/kg/day.
8 And if you go back up to the top part of the slide for
9 one- to two-year-olds, and that's actually based on
10 dermal and inhalation with dermal being the highest
11 part of that exposure. Now if you go up to the top
12 part with children one to two, you have a combination
13 of things. This is just exposure. And what you're
14 combining here is any potential dietary exposure which
15 are not included at the bottom. There's no dietary
16 component at the bottom.

17 What we've done at the top -- and we
18 can give you an estimate of what it would be just for
19 the residential portion of this -- is you're
20 calculating a high-end dietary exposure based on
21 tolerance level residual. You're adding into that how
22 people will be exposed in the residential market or
23 toddlers, and the potential for dermal exposure all
24 thrown together which is still .47 compared to the

1 high end for workers for mixer/loader which would be
2 7.

3 And again, these are just ranges and
4 that's the high end of the range. If you look at this
5 all together and you think of all the different
6 routes, the workers are -- especially considering how
7 they're exposed, that they're mixing, they're right in
8 there handling it -- they're definitely more highly
9 exposed than children are. And the rates are higher
10 and they're doing it more frequency.

11 **DR. ANNA LOWIT:** I'm going to add one
12 little thing. I think it's important to understand
13 the characterization of that top number. In our risk
14 assessments, we have many hundreds of risk assessments
15 that we have to do on an annual basis. What happens
16 with our teams, is we use our resources efficiently
17 and effectively.

18 In our food and drinking water
19 assessments, we have a tiered system by which we start
20 with very high-level screening assessments and move
21 down into monitoring data and more sophisticated
22 assessments. In the case of glyphosate, you've heard
23 from both Monique and Dana that this represents what
24 we call tolerance-level residues, which in our world

1 means extreme high end. These are not refined values.
2 These are not monitoring values as I understand them.

3 In our workflow when a screening level
4 assessment "passes" we just keep moving. The actual
5 dietary exposure to glyphosate is far lower than would
6 be represented had we done a full-blown assessment
7 with a lot of monitoring data.

8 **DR. LAURA GREEN:** Perhaps I could
9 suggest a way forward.

10 **DR. MONIQUE PERRON:** Well, if we're
11 going to --

12 **DR. LAURA GREEN:** Or not, maybe later.

13 **DR. JAMES MCMANAMAN:** Okay. Yes, Dr.
14 Dawson?

15 **DR. JEFFREY DAWSON:** One other thing
16 that we haven't really talked about, and it's not
17 really reflected well in that slide, is if you
18 consider the temporal nature of exposures. In a
19 residential setting -- and the way we're simulating
20 exposure here is treating a yard, which remember
21 glyphosate kills everything. And then putting a child
22 out there and doing all this activity, that's like a
23 single day. The next day your yard will be dead.

24 **DR. LAURA GREEN:** What's the --

1 **DR. JEFFREY DAWSON:** No, it kills
2 everything. It kills everything. For occupational
3 exposure remember the slide that Dr. Perron put up
4 with the amount of poundage of glyphosate used. For
5 example, with the GM crops and forth you get seasons
6 of use for those who are involved in occupational
7 activities associated with the use of glyphosate,
8 particularly on the GM crop.

9 There's some areas of the country where
10 this is obviously the major pesticide used and they
11 use it across the -- you know, the entire beginning
12 and middle parts of the growing season to get a mature
13 crop. There's a lot higher frequencies of exposure as
14 well that should be a consideration in the exposure
15 context, which is not really reflected well in this
16 slide.

17 **DR. JAMES MCMANAMAN:** Thank you. Dr.
18 Johnson?

19 **DR. ERIC JOHNSON:** I have two questions
20 which are interrelated. One, in your document you
21 give a list of the search strings that were used to
22 collect the literature/data. But I didn't see
23 anything for the epidemiological studies. And I'm a
24 little bit concerned about that because one, you just

1 mentioned that you are not concerned with the effect
2 of glyphosate exposure among workers who are highly
3 exposed during the manufacture of the compound. And
4 not only that, these are the people who would more
5 likely be exposed to the active ingredients also.

6 What I'm confused about is -- let's for
7 the sake of argument say that the workers who produce
8 glyphosate have high risk of cancer. If you say that
9 you have no business with that group of data, why are
10 we having this discussion here to determine whether
11 this thing causes cancer or not?

12 **DR. DANA VOGEL:** Just to clarify. For
13 the pesticides program, there's a different part. I
14 think OSHA covers production workers. That's not
15 under the purview of the Office of Pesticide Program.
16 Our purview for human health risk assessments, under
17 the EPA's Pesticide Program, covers all agricultural
18 workers that could mix/handle, mix/load, apply, post
19 application as well as nonagricultural settings as
20 well.

21 We would do assessment. We do human
22 health risk assessments for all types of workers that
23 are using these pesticides products as well as any
24 resident and how they might be exposed through use of

1 it or after. That's the purview and those are the
2 risk assessments and the context for why we're asking
3 about the carcinogenic potential for glyphosate, for
4 use in our risk assessments.

5 **DR. ERIC JOHNSON:** I'm just concerned
6 about this meeting and our roll in this meeting. Are
7 we to confine ourselves to just applicators and
8 spreaders or whatever, and forget about all of the
9 information? Is that what you're asking? Because if
10 there is evidence out there that this thing causes
11 cancer in highly-exposed production workers, are we
12 supposed to ignore that data and just look at what
13 you're giving us here?

14 Because we have to make a decision
15 whether this thing's potentially carcinogenic or not.
16 That doesn't seem to me to be restricted to just
17 whether it's just carcinogenic in applicators. It's
18 just in general whether this thing is carcinogenic or
19 not.

20 **DR. ANNA LOWIT:** So your question is
21 about our systematic review and regarding our
22 epidemiology, actually our next presentation is on our
23 systematic review. And our paper included our search
24 terms for both the epidemiology in animal and the gene

1 tox. And I believe our search terms for the
2 epidemiology were general enough. They would have
3 picked up production workers. And we're not aware
4 that any such studies exist.

5 But in the context of this meeting,
6 it's important to look at the context of this meeting
7 as through the lens of the Environmental Protection
8 Agency who works under the 2005 Cancer Guidelines,
9 which I believe all of you were provided. And Section
10 6, I think, in our document puts the glyphosate
11 epidemiology in animal and gene tox in the context of
12 the 2005 Cancer Guidelines.

13 **DR. ERIC JOHNSON:** Right. But what I'm
14 trying to get from you is that are we to concern
15 ourselves when we make -- because the determination we
16 have to make, we have about four or five different
17 classifications of the potential of this thing to
18 cause cancer. And we have to choose one of them. I
19 mean, at least support one of them.

20 And my question is that, should we make
21 a modification at the end of our conclusion to say
22 that as far as the data concerns applicators, this
23 thing is or it's not carcinogenic. Should we make that

1 rider in there, because we do not have any data on
2 other exposures.

3 **DR. ANNA LOWIT:** There are the
4 epidemiology studies that exist that you'll hear about
5 in detail later in the day, are on agricultural
6 workers. Applicators would be included within that.

7 **DR. ERIC JOHNSON:** But what about
8 production workers?

9 **DR. ANNA LOWIT:** So we'll get to the
10 epidemiology review later. We're not aware that any
11 such studies exist for production workers and that is
12 outside the purview of EPA to regulate. The context
13 of the review is through the lens of the EPA Cancer
14 Guidelines under which we work. We can't characterize
15 production workers for you and we're not aware of any
16 data out there. Our purview is the food, the water,
17 the residential use and the agricultural occupational
18 work.

19 **DR. JAMES MCMANAMAN:** So perhaps this
20 is an issue for the charge question and that may be a
21 limitation in the charge. We can include that as part
22 of the charge question discussion. That was Dr.
23 Johnson, Dr. Lowit and Dr. Vogel. Other questions?

1 If not, then I think we'll move on to the next
2 presentation.

3 **DR. GREGORY AKERMAN:** Good morning.
4 I'm Greg Akerman of the Office of Pesticide Programs,
5 Health Effects Division, and I will be presenting an
6 overview of the systematic review and data collection
7 process that we used in our evaluation of the
8 carcinogenic potential of glyphosate.

9 In recent years, the National Academy's
10 National Research Council has encouraged the agency to
11 implement a systematic review process to enhance
12 transparency of scientific literature review that
13 support chemical-specific regulatory decisions. NRC
14 defines systematic review as scientific investigation
15 that focuses on a specific question and uses explicit
16 pre-specified scientific methods to identify, select,
17 assess and summarize the findings of similar but
18 separate studies.

19 Consistent with the NRC
20 recommendations, the Office of Chemical Safety and
21 Pollution Prevention employs a fit-for-purpose
22 systematic review which relies on standard methods for
23 collecting, evaluating and integrating scientific data
24 to support decisions.

1 The fit-for-purpose concept implies
2 that a specific activity or method is suitable for its
3 intended use, and allows for flexibility and is not a
4 one size fits all type of review process. Systematic
5 review begins with a problem formulation to determine
6 the scope and the purpose of the search. Studies are
7 considered on their relevance to answer specific
8 questions and those studies that are deemed relevant
9 are then further considered.

10 The fit-for-purpose systematic review
11 allows for transparency in data collection, evaluation
12 and integration. The agency strives to use high-
13 quality studies when evaluating the hazard of
14 pesticides and considers a broad set of data,
15 including registrants' studies required under FIFRA,
16 peer reviews, scientific journals and other sources
17 from academia and government so that decisions are
18 based on the best available science.

19 For the scope of the data collection it
20 should be noted that glyphosate is primarily
21 manufactured as various salts with cations, such as
22 isopropylamine, ammonium and sodium. These salts are
23 derivatives of the active substance glyphosate and
24 increase solubility of technical glyphosate in water.

1 All these forms were considered in the current
2 evaluation of glyphosate.

3 Data is collected by searching open
4 literature and other publicly available sources which
5 includes recent internal reviews and evaluations of
6 other organizations. We also search internal
7 databases for studies submitted to the agency that
8 were conducted according to OECD or OSEP Harmonized
9 Test Guidelines or other pesticide test guidelines.

10 The open literature search conducted
11 used concepts consistent with fit-for-purpose
12 systematic review, including detailed tracking of
13 search terms and identification of articles that were
14 included or excluded. The primary goal of the
15 literature search was to identify relevant and
16 appropriate open literature studies that had the
17 potential to inform the agency on human carcinogenic
18 potential of glyphosate.

19 OPP worked with EPA librarians to
20 search three scientific search engines, PubMed, Web of
21 Science and Science Direct. The search terms used are
22 described in Section 2.1.1 of the issue paper. And
23 since the focus of this review is the human
24 carcinogenic potential of glyphosate, nonmammalian

1 studies were not considered with the exception of
2 mutagenicity studies in bacteria.

3 The search results were cross
4 referenced to eliminate duplicates. And one
5 additional study that was not identified in the search
6 was added for a total of 736 individual articles. The
7 studies were then evaluated to determine if the
8 studies were relevant for issue of concern which,
9 again, was to human carcinogenic potential of
10 glyphosate.

11 Of the 736 articles considered, 658
12 were determined to be not relevant to the scope of
13 this search. An additional 27 articles were
14 considered not appropriate due to the type of article.
15 For example, if they were correspondence articles.

16 Fifty-one relevant articles were
17 identified. Of these, 42 were considered in the
18 current evaluation. And this included 31 genotoxicity
19 studies, 9 epi studies and 2 animal carcinogenicity
20 studies. Three articles described the use of
21 glyphosate or its metabolites as a therapeutic drug
22 for cancer treatment. And six others, upon further
23 review, were not considered to be informative for the
24 current evaluation.

1 The data collection also includes
2 studies submitted to the agency under 40 CFR Part 158,
3 Toxicology Data Requirements for Pesticide
4 Registration. These data requirements provide
5 information on a wide range of adverse health outcomes
6 in the studies. Typically followed are harmonized
7 OECD or OECS peak guidelines or OPP accepted
8 protocols, which ease comparison across studies in
9 chemicals.

10 The studies identified tested
11 glyphosate and associated salts. All relevant animal
12 genotoxicity metabolism studies from the toxicological
13 database were collected for consideration.

14 A list of studies obtained from the
15 toxicological database, the open literature search,
16 were then cross referenced with recent internal review
17 articles by the agency. The list also was cross
18 referenced with review articles from the open
19 literature.

20 We requested studies from registrants
21 that were not previously available to EPA. And after
22 the request, numerous studies were then subsequently
23 submitted to the agency and reviewed. The study
24 report for 1 animal carcinogenicity study and 17

1 genotoxicity studies were not available to the agency
2 and were noted in the relevant section of the issue
3 paper.

4 For these studies, data and study
5 summaries provided, particularly in the Greim, Kier
6 and Kirkland review articles, were relied upon for the
7 current evaluation.

8 Studies submitted to the agency are
9 evaluated based on OECD, OCSPP or OPP test guidelines
10 requirements to determine whether the studies are
11 acceptable for use in risk assessment. In the current
12 evaluation, animal carcinogenicity studies,
13 genotoxicity and metabolism studies located in our
14 internal databases with access to the full study
15 reports were evaluated in this manner. Those
16 classified as unacceptable were noted and subsequently
17 excluded from the current evaluation.

18 In order to evaluate open literature
19 studies, criteria described in the Office of Pesticide
20 Program guidance for considering and using open
21 literature toxicity studies to support human health
22 risk assessment was utilized. This guidance assists
23 OPP scientists in their judgement of scientific
24 quality of open literature publications.

1 And more specifically, the document
2 discusses how to screen open literature studies for
3 journal articles and publications that are relevant to
4 risk assessment. How to review potential useful
5 journal articles and categorize them into usefulness
6 in risk assessment, and how the studies may be used in
7 risk assessment. As with most studies, those deemed
8 unacceptable were noted and subsequently excluded from
9 our evaluation.

10 As mentioned in previous talks, a CARC
11 evaluation of the carcinogenic potential was conducted
12 in 2015. This table compares the number of studies
13 considered for the 2015 CARC evaluation and the
14 studies that are evaluated on fit-for-purpose
15 systematic review.

16 As you can see in the table, the
17 systematic review identified additional studies that
18 were not included in the 2015 CARC evaluation. Also,
19 the CARC relied more on data from published review
20 articles for which the studies were not available to
21 the agency, but have been subsequently submitted to
22 EPA and the data were reviewed and included in this
23 current systematic review.

1 In summary, the agency used a fit-for-
2 purpose systematic review to identify and collect data
3 for current evaluation. The review focused on studies
4 that inform human carcinogenic potential of glyphosate
5 and the studies were evaluated for acceptability. The
6 process discussed in this presentation relates to
7 charge question number one to the panel.

8 Thank you. At this time, I'll take any
9 questions you may have.

10 **DR. JAMES MCMANAMAN:** Thank you Dr.
11 Akerman. Dr. Green?

12 **DR. LAURA GREEN:** Thank you for that
13 helpful presentation. Perhaps I missed it, but did
14 you all limit your search to English language papers?

15 **DR. GREGORY AKERMAN:** Yes. We did.

16 **DR. LAURA GREEN:** Do you feel that
17 might be a limitation? Let me just say I don't mean
18 to be coy here. It is my understanding, perhaps
19 incorrect, that there's more glyphosate made and used
20 in China than in the US, and possibly the US and
21 Europe combined. And I, at least, am wondering
22 whether one of the important data gaps which is
23 information of non-production workers and their health
24 might be available in, let's say, the Chinese

1 literature and might be something that might be very
2 much worth at least trying to find.

3 **DR. MONIQUE PERRON:** I should say that
4 it wasn't necessarily that the search was limited.
5 The search did not say not English. We did receive
6 some that were not in English and those were
7 subsequently excluded due to the fact that they were
8 not in English. But I will say that I think that was
9 only the case for maybe less than a handful of studies
10 that came across.

11 I don't think it was a large limitation
12 in the current search that we did. I understand what
13 you're trying to get at though. But given the search
14 engines that we looked at, and what we know is
15 available out there, as well as we also looked at
16 evaluations from Europe and across the world, and
17 these are the studies that were identified. If we had
18 found that there was one out there that was in another
19 language that we thought was pertinent to the search,
20 we would have included it. I'm not sure that I would
21 say that was a strong limitation in the current
22 search.

23 **DR. LAURA GREEN:** So if I understand
24 then, just for the sake of discussion, if there was a

1 paper written in Chinese but in PubMed for example,
2 and if there were the ability using Google translate
3 or something to translate let's say the abstract from
4 let's say Chinese to English, you would have read
5 that?

6 **DR. MONIQUE PERRON:** At this time, if
7 they were in the search and we actually -- if you look
8 in the appendix there is one that I believe is in
9 Russian. We did not try to translate it this time.
10 There was quite an expedited timeline for this to make
11 sure that we could get this SAP going. And again, I
12 would say that's less than a handful of studies that
13 came up in our search.

14 I would say though, if we had noticed
15 that another agency or others out there were using a
16 study that was in another language, we would have made
17 more of an effort to go the extra mile to make sure
18 that that was included, yes. But in this case that
19 was not the case here.

20 **DR. LAURA GREEN:** Okay. I have just
21 one more quick question. I noticed that in your
22 exclusion terms you used the word water. In other
23 words, you excluded papers that would have glyphosate
24 and water in the title which I thought was odd. And

1 so I took it out, that is to say I excluded that
2 exclusion term and I found lots of other papers on for
3 example glyphosate and drinking water that you would
4 have excluded a priori.

5 And while I appreciate that you're not
6 interested for this purpose in aquatic toxicology, and
7 therefore you used as exclusion terms aquatic and fish
8 and that sort of thing, I'm perplexed as to why water
9 was one of those terms. And wonder whether anyone has
10 bothered to rerun the search including water and see
11 what you get.

12 **DR. MONIQUE PERRON:** Yes. That was an
13 attempt to try to limit the eco papers that we were
14 receiving. Because as you can imagine when we first
15 started this, we had quite a number of -- there are a
16 lot of studies out there in the open literature. And
17 we were attempting to work with our EPA librarian to
18 constrain that as best as possible. And I believe
19 that that's why we have a charge question for that and
20 we will take any input on any of that information.

21 If you think that there were relevant
22 studies that came up when you took out water that were
23 relevant to the human carcinogenic potential of

1 glyphosate, then that is something that we want to
2 know so we can incorporate those.

3 **DR. JAMES MCMANAMAN:** Dr. Jett?

4 **DR. DAVID JETT:** Just sort of a
5 procedure question. For the study selection, did more
6 than one person participate in that? Or was it just
7 one person that did the selection?

8 **DR. MONIQUE PERRON:** Sorry, could you
9 clarify? The study selection in terms of -- I'm
10 sorry, I just want to make sure I answer your
11 question. Do you mean which studies were considered
12 relevant? Which ones were in the scope?

13 **DR. DAVID JETT:** Correct.

14 **DR. MONIQUE PERRON:** Okay.

15 **DR. DAVID JETT:** I think study
16 selection was the term used, but yes.

17 **DR. MONIQUE PERRON:** Okay. I just
18 wanted to make sure. That was conducted primarily by
19 one person at first, yes. And then two other people
20 on the team then also looked through that list as well
21 to see if they thought any of those were relevant.

22 **DR. DAVID JETT:** So there wasn't any
23 parallel, you know, two people doing --

1 **DR. MONIQUE PERRON:** No. It was more
2 conducted in this case for the ease of time. We had
3 one person go through the full list all at once to try
4 to categorize them at first. And then we had two
5 subsequent people look at the list and see if they
6 agreed with those.

7 **DR. DAVID JETT:** Okay. The other
8 question was just a little clarification about -- so
9 the latest influx of new studies, those were obtained
10 from looking at reviews? And there wasn't an
11 independent kind of a search or these just came
12 directly from review articles?

13 **DR. MONIQUE PERRON:** Yes. We spoke
14 with registrants and told them to please submit any of
15 the studies that we knew were out there that were --
16 primarily it was the review article. I mean it really
17 started by the Greim paper, where we knew that there
18 were registrant-generated data in that paper. They
19 then subsequently provided us with all but one
20 unacceptable animal study, and all but 17 of the
21 genotoxicity studies in that case.

22 **DR. DAVID JETT:** Got it. Last
23 question. What happens with studies that were

1 submitted from registrants that weren't published?

2 Were they included in this analysis?

3 **DR. MONIQUE PERRON:** Yes. The
4 systematic review, as Greg walked through, not only
5 was it open literature search, we looked through all
6 of the toxicological databases for glyphosate and any
7 of its associated acids. Our focus obviously was on
8 any cancer studies, genotoxicity studies, but we also
9 looked for any metabolism studies or mechanistic
10 studies that might inform any of that additional
11 information.

12 **DR. DAVID JETT:** Thanks.

13 **DR. JAMES MCMANAMAN:** Yes, Dr. Crump?

14 **DR. KENNY CRUMP:** Did the unpublished
15 studies undergo any special review to determine their
16 scientific validity?

17 **DR. GREGORY AKERMAN:** Yes. The
18 unpublished studies went through our regular review
19 where we have toxicologists that typically review
20 studies that come in for registrants as part of the
21 registration of a chemical. It went through the same
22 process where we had a toxicologist review and then
23 another toxicologist did a secondary review of those
24 studies to make sure they were acceptable studies.

1 **DR. ANNA LOWIT:** Greg, tell me if I'm
2 wrong, but our data evaluation records of the
3 nonpublished studies are included in the package that
4 all of you received. Each of you have seen our
5 reviews of those unpublished studies.

6 **DR. JAMES MCMANAMAN:** Other questions?
7 Dr. Johnson?

8 **DR. ERIC JOHNSON:** Just a slide
9 clarification. The data you showed the first review
10 picked up only nine epidemiological studies. And I
11 would like to know how different is that review from
12 the current review where we have 50 epidemiological
13 studies? What was the timing and what's the
14 difference? If you could just clarify that for us,
15 please.

16 **DR. MONIQUE PERRON:** In the open
17 literature search it picked up those nine studies.
18 But we were also aware of other studies already during
19 our 2014 and 2015 reviews of the epidemiological
20 literature. And many of those were already actually
21 part of those evaluations. The nine represents what
22 was picked up by the search. There's actually 58
23 studies that were in total considered. That includes
24 those nine studies plus all of the additional ones

1 that we identified in review articles or as part of
2 those initial evaluations prior.

3 **DR. ERIC JOHNSON:** What was the most
4 productive method where you got so many -- much more?
5 Because on the first of which, the initial review,
6 which we took only nine looked extensive to me. But
7 to think that it missed almost 50 studies; could you
8 just tell us what were the other methods which were so
9 productive? Also, that even brings to mind why --
10 when you do review studies in occupational settings
11 it's very difficult, I can you tell you that. You can
12 miss a lot of studies. A lot of outcomes or exposure
13 comes under the word occupation.

14 And it is when you read through the
15 articles that individual cancers, you pick up. If we
16 just relied on glyphosate on a search string, for
17 example, we only pick up a handful of the 58 studies,
18 epi studies; because glyphosate does not appear as a
19 keyword or title in most of these 58 epi studies.

20 **DR. MONIQUE PERRON:** I cannot really
21 speak toward why they were not picked up. We actually
22 saw the same thing for the genotoxicity studies, and
23 maybe it is part of the exclusion terms. That is
24 something that we would love feedback from the panel

1 on if you think there are certain exclusion terms, as
2 was already pointed out, that you think would increase
3 our probability.

4 In a perfect world, we could search
5 every search engine out there, but we can't. We are
6 relying on all of the avenues that we can. We
7 conducted a fairly broad search, actually. It really
8 is glyphosate, plus cancer, minus environmental type
9 terms to try to take out a lot of the eco type
10 studies. This wasn't one where we really restricted
11 the search very much.

12 Again, we would gladly take any
13 suggestions on how to improve the search. As we said
14 earlier, there is a charge question on that. But I
15 would say that that is why we made sure to cross
16 reference with review articles and other agencies
17 reviews to make sure that we were being as
18 comprehensive as possible in this case.

19 Again, I can't really speak towards why
20 this search didn't pick up on every single one. And
21 it could just be that the particular search engine
22 didn't have the journal as part of their search. But
23 that's why we tried to go across multiple search
24 engines, to try to get at that issue.

1 DR. JAMES MCMANAMAN: Thank you Dr.
2 Parron. Dr. Green?

3 DR. LAURA GREEN: I just have a
4 practical question. What's the date after which
5 studies won't be considered? In other words, time
6 marches on. We were supposed to meet in October and
7 here it is December. By the time we report back to
8 you, it's going to be the spring of 2017, I guess.
9 And I'm just wondering what the drop-dead date is
10 since obviously, papers are published pretty
11 regularly.

12 DR. MONIQUE PERRON: Sure. We'll
13 continue to monitor the data as best we can. We put
14 out a draft risk assessment first as part of
15 registration review. And as part of that we receive
16 public comment. People can send in papers at that
17 time or say you should consider this paper at that
18 time.

19 We have additional time during
20 registration review where we can continue to
21 incorporate any information that we think is
22 pertinent. I wouldn't say there's necessarily a drop-
23 dead date. Also, remember that we consistently are
24 doing human health risk assessments. And often with

1 glyphosate, which is used fairly regularly, we pretty
2 consistently over time have risk assessments every
3 couple of years. And for each of those we always
4 tried to include any relevant and pertinent
5 information at that time as well.

6 There's always moments where we can
7 start to incorporate new information. And we strive
8 to use the best science out there when we are making
9 those decisions.

10 **DR. LAURA GREEN:** Not to put you on the
11 spot, but it's our understanding that our
12 deliberations for all intents and purposes end this
13 week. And the record that is established, is
14 established this week. Let's say for sake of
15 discussion, as we are preparing our report for you
16 next month an interesting epidemiology study comes
17 out, we obviously will not have had the opportunity to
18 discuss that epidemiology study in your presence.
19 What would you advise we do?

20 **DR. ANNA LOWIT:** Glyphosate is active
21 in the open literature. Many scientists around the
22 world are looking at many aspects of glyphosate.
23 Everything from cancer to gene tox to eco tox, as you
24 eluded to earlier. That will happen. It's more a

1 likely event than an unlikely event that a paper comes
2 out on glyphosate after your report is done.

3 As Monique said, we maintain an active
4 observation of the literature. This is a chemical
5 that we maintain an active literature search on and we
6 will incorporate it into our weight of evidence
7 analysis to the best we can.

8 There is a large body of information
9 here. The number of animal bioassays is very large.
10 The number of gene tox studies is very large. And in
11 the pesticide arena the number of epidemiology studies
12 is also substantial, and particularly given those that
13 have been studied in the Agricultural Health Study.

14 At some point, we expect our AHS
15 colleagues at NCI to publish a second paper on
16 glyphosate. That will happen, but we will do as we do
17 with every other assessment. We will integrate that
18 new information as best we can into our assessment.
19 Keeping in mind the advice that we get from all of you
20 during this week.

21 **DR. JAMES MCMANAMAN:** Thank you Dr.
22 Lowit. Dr. Taioli?

23 **DR. EMANUELA TAIOLI:** When you treated
24 the unpublished data that you used, did you try any

1 sensitivity analysis? Did you look at the data with
2 and without the unpublished studies, and did you score
3 the unpublished studies for some quality? Such as, it
4 could be that they're not published because the
5 funding is finished and the study was not completed,
6 or not published because it doesn't reach the peer
7 review process. Did you do any publication bias test
8 because that's usually a problem?

9 **DR. MONIQUE PERRON:** So we did not do
10 any analysis for publication bias or sensitivity
11 analysis as you said. Just to sort of separate, when
12 we did the searches, they were actually separate. The
13 search string is for only the open literature.

14 The other half of the search for the
15 review was in the tox databases which is going through
16 our very old databases and trying to search for every
17 possible study that we can find that could inform
18 this. It wasn't necessarily that they were all
19 searched together, just to be clear on that.

20 And I wouldn't say that we scored the
21 unpublished data. We basically tried to categorize
22 them to whether they were first of all relevant or
23 within the scope of the issue of concern which is the
24 human carcinogenic potential of humans. Often you

1 could figure that out either by the title or abstract.
2 A lot of the time, especially with the term Roundup,
3 things get a little bit more confusing. You don't
4 realize how much that term comes up, so going through
5 first to figure out whether they were even relevant to
6 the issue of concern.

7 And then from there, of the relevant
8 studies, whether they were acceptable or adequate for
9 use in a quantitative or qualitative. I think Greg
10 also mentioned in his presentation that we have
11 guidance for evaluating open literature articles and
12 that was basically how we reviewed that data.

13 **DR. ANNA LOWIT:** Just to add a little
14 bit to that. Take a couple of steps back. Within the
15 animal toxicology and the gene tox studies, it's
16 important to remember that under FIFRA that this
17 program has enormous data call in capacity. And in
18 order to register a pesticide in the US, companies who
19 want to do that have to develop large amounts of
20 animal toxicology data.

21 The overwhelming majority of the
22 bioassays that you have, come from chemical companies
23 who have either registrations here in the US or
24 abroad. And we have other studies, developmental tox,

1 dermal, inhalation, repro, the list goes on and on and
2 on. And the overwhelming majority of that data is
3 never published in the open literature.

4 This program has access to lots and
5 lots of data for many pesticides, including
6 glyphosate, that have never been published. And so
7 that really represents, I think, the bulk of what all
8 of you have as the registrant supported data.

9 And with respect to the grading of
10 information, those studies are conducted under OECD
11 guidelines. And Anwar and Greg will both explain how
12 we look at a study done under the OECD guidelines and
13 grade it for what we call acceptable or nonacceptable,
14 or guideline/nonguideline.

15 It's not graded in the old hat point of
16 view that you give a score of a 1, 2, 3, 4 or
17 something like that. It's more through our lens from
18 a regulatory point of view. Is it acceptable? I.E.
19 did it meet the requirements in the OECD guidelines?
20 Is it scientifically conducted?

21 We do score them in that way. And all
22 of those would be in the data evaluation records or
23 what we call the ERs that are in the package that you
24 got.

1 **DR. EMANUELA TAIOLI:** I think a
2 publication bias test would show that they all merge
3 without difference and would give you a quantitative
4 proof that what you're now saying in words is true.
5 It would be a simple way.

6 **DR. JAMES MCMANAMAN:** Dr. Jett?

7 **DR. DAVID JETT:** One last question I
8 forgot to ask and that was for the data streams, the
9 streams of evidence that you used. You have human,
10 you have, I guess, whole animal tumor studies and
11 you've got genotoxicity; did you also consider basic
12 mechanistic studies? You know, proteomics or what
13 have you, or is that out of the fit-for-purpose
14 approach that you took?

15 **DR. MONIQUE PERRON:** We did try to
16 consider any mechanistic data out there, but there's
17 actually quite a data gap on the mammalian mode of
18 action of glyphosate. We had, I believe, one study
19 that did have a proteomics component to it, but it was
20 not found to be integral to the topic.

21 **DR. JAMES MCMANAMAN:** Dr. Crump?

22 **DR. KENNY CRUMP:** A question about data
23 collection. When you identify the studies, did you
24 actually collect the data from the studies? For

1 example, did you collect the raw data, in a sense, as
2 you could do the same analysis that the study was done
3 and maybe do additional analyses? And in particular,
4 with the animal data, some of those studies are very
5 old; and did you attempt to put the data in forums
6 where it could be analyzed? And what's the status of
7 those data.

8 **DR. GREGORY AKERMAN:** So the studies
9 that were identified that were conducted by
10 registrants, those study report contains the raw data
11 so we could do an independent evaluation of those
12 studies. It's only in a couple cases where we didn't
13 actually get the full study report and we had to rely
14 on some summary data that was available.

15 But as far as the unpublished data for
16 literature studies, when we requested -- those studies
17 that we identified, and we requested them and they
18 came in, we did have the full study report where we
19 could do an independent evaluation.

20 **DR. KENNY CRUMP:** Did you computerize
21 those data or did you just have it in the raw paper
22 forms; the data from the unpublished animal studies?

23 **DR. MONIQUE PERRON:** We have access to
24 individual and summary tables that comes with those

1 study reports. When we receive study reports, they're
2 just in a pdf or Word document format that we then go
3 through. In the case of the animal studies that
4 you're asking about, we identified the tumor types
5 that we wanted to analyze in detail. And those were
6 then put through statistical analyses from there. I'm
7 not sure if that somewhat answers your question.

8 **DR. KENNY CRUMP:** I think so. It says
9 that you did not really computerize all the data. You
10 just look at the tables that were in the published
11 report and picked out --

12 **DR. MONIQUE PERRON:** Right. We don't
13 take all of the data and computerize it. It's just
14 too large of an amount of time and resources that we
15 don't have for every study that comes in. Especially
16 for something like a carcinogenicity study. There's a
17 lot of end points that are looked at, a lot of apical
18 outcomes. We can't take the time to computerize all
19 of that information, especially when we don't think
20 all of them will even be fruitful in showing anything.
21 We try to focus on where the information may lead to
22 something that we need to investigate further.

23 **DR. KENNY CRUMP:** I would understand
24 that a lot of those summary tables you don't have the

1 data for doing age-adjusted analyses so you were not
2 able to do such analyses from the tables that were in
3 the published report. Is that right?

4 **DR. GREGORY AKERMAN:** The published
5 reports provide all the raw data. Sorry, the
6 unpublished reports provide all the raw data,
7 individual animal data. Any analysis could be
8 performed because we have all the data for those
9 studies.

10 **DR. LAURA GREEN:** If I could help. I
11 think we just have one specific concern and maybe a
12 practical suggestion. We do have a National
13 Toxicology Program -- thank goodness -- and I know
14 that you all are interacting with them.

15 Dr. Crump and I have been specifically
16 wondering something. As you may know, the National
17 Toxicology Program uses Poly-3 or other statistical
18 tests looking at time to tumor -- you do not. That's
19 fine that you do not, but many of us think that time
20 to tumor could be a very informative exercise.

21 And so, our specific question is, if we
22 were to recommend to you that you ask NTP to do time
23 to tumor analyses, would that be a practical

1 suggestion or is that like, you know, a year's worth
2 of work and totally off the table?

3 **DR. JAMES MCMANAMAN:** Well, I think
4 we're getting into the charge question area again. It
5 gets a little dicey here. We'll hold off -- in terms
6 of at this point of clarification, we'll hold off on
7 that question. Keep it in mind. Write it down
8 because we'll come back to it when we come to the
9 charge question.

10 Before we go on I think there was
11 another couple of questions here. Before we go on
12 that was Dr. Akerman, Dr. Perron, Dr. Crump and Dr.
13 Green. That was that interaction. I think that Dr.
14 Parsons had a question.

15 **DR. BARBARA PARSONS:** It's related.
16 The unpublished literature, the study reports report
17 the data in various format. My question is, were you
18 able to go through all of those rodent carcinogenicity
19 studies and collect the same data across studies for
20 analysis? You're comparing apples to apples the whole
21 time. And if so, what was the statistic that you
22 used? Was it just terminal sack and more of them dead
23 animals? Some of them are combined chronic exposure
24 carcinogenicity studies.

1 And some of them, for example, combine
2 data from all sacrifices. What was the method that
3 you used; what was the specific data that was analyzed
4 for statistics?

5 **DR. GREGORY AKERMAN:** I think that's
6 probably a better question during the animal
7 carcinogenicity studies that go over the bioassays and
8 discuss the statistics that were used for that. That
9 might be a better time to address that question.

10 **DR. JAMES MCMANAMAN:** That's fine.
11 Okay, Dr. Zhang?

12 **DR. LUOPING ZHANG:** I just want to --
13 maybe I missed, but I'd like to confirm. You have a
14 table to show all the different like epi study, animal
15 and the genotoxicity. You have 2015 CARC evaluation
16 and the current. First question is, is basically your
17 current evaluation cover everything from the 2015,
18 right?

19 **DR. GREGORY AKERMAN:** Yes.

20 **DR. LUOPING ZHANG:** I just want to
21 confirm that. Second is, have you compared your EPA
22 current evaluation, the paper selected, from IARC
23 documents? What's the difference between your EPA
24 document comparison with the IARC one? My guess here

1 is because IRAC only based it on the published papers;
2 and here, EPA, you're including published or peer
3 reviewed and unpublished or peer reviewed. Is that
4 the case? I just want to confirm.

5 **DR. GREGORY AKERMAN:** That's true.

6 **DR. LUOPING ZHANG:** It's true? Okay.
7 Then I also heard another question about if it's un-
8 peer reviewed, have you looked into, peer reviewed and
9 un-peer reviewed, the publication source or funding
10 source, to analyze possible publication bias? Just
11 looking into that.

12 **DR. MONIQUE PERRON:** Sure. And knowing
13 the high profile of this chemical we did note, when we
14 could, when the funding source was from a registrant
15 or not, to speak a little bit toward that. But yes,
16 we have done comparisons with IRAC. There are some
17 fundamental differences in, like you said, they only
18 use published literature. We have a very large,
19 extensive database on our own that we can't ignore.
20 We include those registrant studies as well.

21 And then there are also some other
22 difference as well. They included data on plants and
23 insects and other things like that that we did not
24 believe would be informative for human carcinogenic

1 potential. There are some fundamental differences in
2 how we approach the data, yes.

3 The ones that we thought would be
4 informative for the purposes of this decision though,
5 we made sure that we included. If we excluded it from
6 our evaluation for some reason, we tried to note that
7 in the white paper as well. Hopefully, along the way,
8 people were able to have some indication of why we
9 went a different route in particular instances like
10 that.

11 In terms of any type of literature or
12 abstracts that are out there that are un-peer
13 reviewed, we would not consider. We feel that it
14 needs to go through some sort of peer review before
15 we're going to consider it. Because I know people
16 have already identified some poster abstracts that are
17 out there that people have already presented.

18 But again, without having access to the
19 study report from the author to actually know what
20 they did, how they did it, we don't feel it's
21 appropriate at this time to incorporate it into our
22 evaluation. We want to make sure that first of all it
23 goes through some sort of peer review and then we can

1 evaluate it at that time when we have all of the
2 information that we can get to consider.

3 **DR. LUOPING ZHANG:** All data included
4 is peer reviewed? At least?

5 **DR. MONIQUE PERRON:** At this time,
6 either the data has been reviewed internally --
7 because we have access to the full study report -- or
8 it has been peer reviewed through a journal process
9 and then included.

10 **DR. LUOPING ZHANG:** Okay.

11 **DR. JAMES MCMANAMAN:** That was Dr.
12 Perron. Dr. Sheppard, you had a question?

13 **DR. LIANNE SHEPPARD:** Yes. I actually
14 have a couple of questions. The first one's a
15 clarifying question. You mentioned that all the data
16 on the review we have access to. And I wanted to make
17 sure I knew exactly what you meant by that. Are you
18 referring to Appendix A of the issue paper? Or are
19 you referring to something else that I should be
20 paying attention to?

21 **DR. MONIQUE PERRON:** Can you remind me
22 in what context I used it?

23 **DR. LIANNE SHEPPARD:** I don't remember
24 exactly which --

1 DR. MONIQUE PERRON: Oh, the DERs.

2 Okay, I'm sorry.

3 DR. LIANNE SHEPPARD: --which one, but
4 it was without the review process and the judgements
5 you made.

6 DR. MONIQUE PERRON: Yes. Sorry. When
7 I was referring to access to, I meant that the whole
8 study report has been submitted to the agency. We
9 have access to all individual data as well as summary
10 tables and information on the chemical composition and
11 analyses and stability. We have a very thorough
12 report of the study that's been submitted in that type
13 of fashion.

14 DR. ANNA LOWIT: She wants to know
15 where the DERs are.

16 DR. MONIQUE PERRON: Oh, where to find
17 the DERs. Those are all included as part of the
18 package that was supplied to the SAP. There are DERs
19 generated for every study that was submitted to the
20 agency that are considered -- what we keep on saying
21 unpublished. Those are in the package as data
22 evaluation records.

1 **DR. ANNA LOWIT:** Do those files have a
2 common nomenclature? Because they receive many files.
3 How would they know which ones were the DERs?

4 **DR. DAVID AKERMAN:** They should have
5 MRIDs.

6 **DR. MONIQUE PERRON:** The file names are
7 all numerical and end in .der. Any of those are .der
8 records.

9 **DR. LIANNE SHEPPARD:** So just to
10 clarify; I got the issue paper of course and there's
11 all the materials that are in the docket, which are a
12 little bit difficult to wade through, needless to say.
13 And then I got a flash drive with FIFRA restricted
14 documents. But what you're referring to is not clear
15 to me I have.

16 **DR. ANNA LOWIT:** Maybe at the break we
17 can talk to the SAP staff and find out where the files
18 may have been posted.

19 **MR. STEVEN KNOTT:** They are in the
20 docket and were linked for the panel members to
21 access. It's all part of the background material that
22 the panel did receive.

1 **DR. JAMES MCMANAMAN:** If they are .der
2 maybe we can search for that and get that information
3 to the panel members. Dr. Perron?

4 **DR. MONIQUE PERRON:** And actually you
5 mentioned the FIFRA thumb drive, those are the actual
6 studies. They're not the summary that we put
7 together, those are the actual individual studies that
8 have been submitted to the agency that are FIFRA
9 protected.

10 **DR. LIANNE SHEPPARD:** So my next
11 question was the evaluation of the FIFRA data that's
12 not in the open literature for acceptability; if I
13 understood you correctly, acceptability means that it
14 meets guidelines. Is that correct? They're guideline
15 studies? And there was no additional review done for
16 acceptability other than they meet the guidelines?

17 **DR. GREGORY AKERMAN:** Yes. Correct.
18 They were judged whether they were acceptable or
19 unacceptable and if they were guideline. They could
20 have still been non-guideline, you know, the data was
21 of quality that we could use in the assessment.

22 **DR. LIANNE SHEPPARD:** Oh. It didn't
23 have to meet the guidelines to be acceptable?

1 **DR. GREGORY AKERMAN:** Yes. That's
2 correct.

3 **DR. ANNA LOWIT:** And one could conduct
4 a study under the guideline and it still be
5 unacceptable based on how it was conducted or problems
6 that may have occurred in the laboratory. We used the
7 guidelines as the structure and the framework, but the
8 acceptable/nonacceptable is a statement of the science
9 quality.

10 **DR. LIANNE SHEPPARD:** Thank you for
11 that. I also had a question about Appendix A. The
12 very last item in Appendix A is a retracted article by
13 Seralini. What's the disposition of how that one was
14 used?

15 **DR. MONIQUE PERRON:** Given that the
16 article was retracted from the peer reviewed journal,
17 we also excluded it from this.

18 **DR. LIANNE SHEPPARD:** Maybe you all are
19 aware that it has since been republished in the peer
20 review and so it's now in the peer reviewed
21 literature, not retracted.

22 **DR. MONIQUE PERRON:** If that is the
23 case, we have seen that study prior. And we had
24 already identified issues with that study. In

1 particular, especially the number of animals that was
2 used. There was only, I believe, ten per dose which
3 is not enough for a cancer bioassay. In addition to
4 many other issues that we identified in that study,
5 prior to it being retracted, so prior to much of this
6 process even.

7 And I will note that other agencies out
8 there have not included the Seralini paper in their
9 review as well. I think at this time there's just too
10 much stigma around it. Again, you can suggest to us
11 the reasons why we should reconsider it, but at this
12 time it has been excluded from the current evaluation.

13 **DR. JAMES MCMANAMAN:** Yes. We can ask
14 that that be read during the discussion of the charge
15 questions. If you want to include that, please feel
16 free to do so.

17 **DR. LIANNE SHEPPARD:** Thank you. And I
18 had one final question and that was on page 22 of the
19 document. For the 18 studies that weren't available
20 to the agency you used summaries provided by other
21 authors. I just wanted to have a little clarification
22 of exactly what you meant. Because somebody else's
23 interpretation of the data as opposed to actually

1 reviewing the raw data, you know, that's just a lot
2 further removed.

3 **DR. GREGORY AKERMAN:** Yes. We
4 recognized that for those particular studies in the
5 review articles they did provide additional summary
6 tables that were available online. And we did not
7 include that, but we actually noted where we used that
8 in the white paper. For those particular studies, we
9 didn't have the actual individual data for those
10 studies.

11 **DR. JAMES MCMANAMAN:** Dr. Taioli?

12 **DR. EMANUELA TAIOLI:** So for the
13 unpublished studies, if somebody else wants to
14 reproduce your process, how would they be able to come
15 to your conclusion if those studies are not available?
16 Is there a way for a scientist to get that kind of
17 data in some format?

18 **DR. DANA VOGEL:** Yes. You can look at
19 our data evaluation records at any time. However, if
20 you want to get -- as you guys got on a thumb drive,
21 anyone can make a FOIA request for that data should
22 they want.

23 **DR. JAMES MCMANAMAN:** All right. Well,
24 this has been a very good discussion. And we are a

1 little past the time for a break. Before we leave, we
2 have an announcement about the microphones.

3 **MR. STEVEN KNOTT:** Yes. Just a brief
4 announcement. We're getting some noise and feedback
5 on the microphones. My understand is these are new
6 microphones so there seems to be an optimal distance
7 to be away from it to speak. If you're too close, it
8 buzzes. But you have to be close enough to be heard;
9 for the presenters and the panel, please remember
10 that.

11 Something else that may help as well is
12 just to make sure that your microphone is turned off
13 when you're not speaking. And hopefully that will
14 help get rid of some of the distortion.

15 **DR. JAMES MCMANAMAN:** So let's be back
16 at ten after.

17 **[WHEREUPON A BREAK WAS TAKEN]**

18 **MR. STEVEN KNOTT:** Okay. I just wanted
19 to welcome everyone back from the break. And there's
20 a couple of things, one clarification I'd like to
21 provide. This is Steve Knott, DFO for the meeting.
22 Earlier there was some questions about these studies
23 that are submitted that they include all the raw data,
24 also referred to as 10G studies that are protected

1 from disclosure to foreign and multi-national
2 pesticide producers under FIFRA 10G.

3 And just one clarification I wanted to
4 provide for the public, those studies, since they were
5 given to the panel do not have to be requested through
6 FOIA. You can gain access to them by contacting the
7 docket. You will still be required to file what's
8 called an affirmation of non-multi-national status or
9 something like that. You'll still have to file that
10 form, but you just contact the docket, file that form
11 and they'll be able to provide that information for
12 you. You do not have to file a formal FOIA request.

13 Because those studies were provided to
14 a federal advisory committee, this panel. I just
15 wanted to provide that clarification on the process.
16 And again, those 10G studies that the panel received
17 are the raw data studies. That's why they're
18 protected, that were submitted to the agency.

19 One additional question has come up for
20 those who have the panel list. There's an additional
21 panelist a Dr. Kenneth Portier -- I'm sure you seen on
22 the panel list. A question was asked about where he
23 is this morning. There's actually a conflicting
24 meeting of the Science Advisory Board, one of their

1 committees, so Dr. Portier will be joining us tomorrow
2 afternoon to participate in these proceedings.

3 **DR. JAMES MCMANAMAN:** Okay, with that
4 welcome back, next presentation is, I think, Dr.
5 Perron and so the floor is yours.

6 **DR. MONIQUE PERRON:** Thank you again,
7 this is Monique Perron from the Health Effects
8 Division of Office of Pesticides Programs. And I'm
9 going to give a walk-through of our data evaluation of
10 the epidemiological studies. A quick outline, I'm
11 going through a quick introduction, walk through some
12 of the study quality evaluation considerations.

13 Also, review the results of that
14 quality evaluation and our determination of relevance
15 to the current analysis. Go through a summary of
16 solid and non-solid tumor cancer studies. And then
17 some overall findings.

18 As many of you know, epidemiological
19 studies may provide direct evidence on whether human
20 exposure to a chemical may cause cancer. An initial
21 evaluation of epidemiological literature was performed
22 by the agency in 2014 as part of the registration
23 review. A subsequent evaluation of the available
24 epidemiological data was performed as part of the 2015

1 CARC evaluation, which added an additional three
2 studies to those identified in the 2014 evaluation.
3 Both the 2014 and 2015 evaluations considered design
4 and overall quality of the studies. However, formal
5 study quality evaluations and rankings were not
6 conducted.

7 A total of 58 studies were considered
8 in the current evaluation. This included all of the
9 studies in the 2015 CARC evaluation and any additional
10 studies identified as part of the systematic review.
11 The analysis focused on primary literature and any
12 associated meta-analysis that evaluated the
13 association between Glyphosate exposure and cancer
14 outcomes.

15 As such, reviews were used to identify
16 potentially relevant studies. Studies with the most
17 complete analysis, utilizing the greatest number of
18 cases in controls, were evaluated for ranking. And
19 all relevant studies were subjected to formal study
20 quality evaluation.

21 This flow chart outlines the study
22 evaluation process. This process aided in identifying
23 studies that were relevant for the evaluation of the
24 human carcinogenic potential of glyphosate. And those

1 studies that require detailed evaluation to assign a
2 quality ranking.

3 Some of the points I just discussed are
4 towards the top of this flow chart. And as you move
5 down the flow chart, there are some additional
6 questions regarding the collection of glyphosate-
7 specific exposure information. And whether a
8 quantitative measure of an association was reported
9 for glyphosate.

10 Key considerations for evaluating
11 studies included study design, exposure assessment,
12 outcome assessment, confounding control, statistical
13 analysis and risk of bias. It should be noted that
14 these study quality considerations were specific to
15 the issue of concern. As such these considerations
16 are considered fit for purpose, and could differ in
17 other regulatory or scientific context.

18 Although the basic concepts apply
19 broadly, the study quality considerations have been
20 tailored specifically to the studies investigating the
21 association between glyphosate exposure and cancer
22 outcomes. Table 3.1 of the white paper provides a
23 matrix of the study quality considerations.

1 In a typical cohort study, individuals
2 are classified according to exposure status and then
3 followed overtime to quantify and compare the
4 development of the health outcome of interest by an
5 exposure group. In a prospective study, subjects are
6 enrolled prior to developing a health outcome. While
7 in a retrospective study subjects have already
8 developed the outcome of concern.

9 The chief advantage of the cohort study
10 design is that it affords the investigators the
11 opportunity to avoid and/or adjust for potential
12 biases. They also allow for discernment of the
13 chronological relationship between exposure and
14 outcome.

15 The primary disadvantage of a cohort
16 study is the logistical inefficiency with respect to
17 the necessary time, expense and other resources needed
18 to conduct them.

19 In some instances, case control studies
20 may be nested within a cohort study. And as a result,
21 those studies may share many of the attributes of the
22 cohort study. In a typical case control study,
23 individuals are classified according to their outcome

1 status and exposure information is collected, as well
2 as for additional risk factors.

3 Cases are those who have developed the
4 outcome of interest and controls are selected that
5 represent the population from which the cases arise.
6 The relative odds of exposure are then compared to
7 between cases and controls. The primary advantage of
8 these types of studies is the logistical efficiency
9 relative to the cohort studies. Often being conducted
10 at a fraction of the cost then fraction of the time as
11 the corresponding cohort study.

12 Cross sectional studies are used to
13 evaluate associations between exposure and outcome
14 prevalence in a population at a single time point or
15 period in time. They're relatively quick and
16 inexpensive to conduct as a long period of follow up
17 is not required and exposure and outcome assessments
18 occur simultaneously. It may be difficult to discern
19 temporal relationships in these studies though, and
20 prevalence rather than incidents of the outcome are
21 often estimated.

22 Ecological studies are used to evaluate
23 associations between exposure and outcomes using
24 population level rather than individual level data.

1 The primary advantage of these studies are related to
2 the logistical efficiencies since they often rely on
3 pre-existing data sources, and don't require
4 individual level of exposure, outcome or covariate
5 assessment.

6 Although these are advantages, the lack
7 of individual data may lead to inappropriate
8 extrapolation of association observed on the aggregate
9 level to associations on the individual level. These
10 studies are also more susceptible to confounding.

11 In all of the studies, exposure
12 information was collected from the subjects and/or
13 proxy individuals using questionnaires and/or
14 interviews. These exposure assessments typically
15 include questions to determine the amount of direct to
16 pesticide use or to collect information on behaviors
17 and conditions associated with the pesticide use.

18 Studies that exclusively use subjects
19 rather than including proxy individuals were
20 considered more reliable since subjects would have a
21 more accurate recollection of their own exposure. All
22 except one study utilize state or national cancer
23 registries, physicians and/or special surveillance
24 programs to determine the outcome status.

1 In several studies cases were also
2 verified by histopathological evaluation. Overall the
3 outcome measures were relatively consistent across the
4 studies and are likely to have minimum errors. The
5 remaining study evaluated in detail Koureas et al.
6 (2014). Utilized a low specificity enzyme amino acid
7 to assess oxidative DNA damage rather than an
8 association with a cancer type.

9 It was noted that there are more
10 sensitive quantitative methods available for
11 evaluating the same outcome as this study did. This
12 will be discussed a little bit further in this
13 presentation.

14 Confounding control varied across the
15 available studies. Standard variables such as age and
16 sex were adjusted for analytically or by matching.
17 Some studies collected information on potential
18 confounders. However, not all of these variables were
19 evaluated or the results of the evaluations were not
20 reported in the study.

21 The direction and magnitude for
22 confounders are, in general, difficult to determine
23 because they are depended on the relationship of each

1 factor with glyphosate and the type of cancer under
2 investigation.

3 Given most people in the studies, who
4 used pesticides occupationally, will be exposed to
5 multiple pesticides and in some instances, those other
6 pesticides are risk factors to the same cancer under
7 investigation, it's a particularly important concern
8 to address either the study design or statistical
9 analysis. Across numerous studies co-exposure to
10 other pesticides was found to be positively correlated
11 with exposure to glyphosate, and exposures to those
12 other pesticides appear to increase the risk of some
13 cancers.

14 For example, Eriksson, et al. (2008)
15 reported an unadjusted affect estimate for non-Hodgkin
16 lymphoma, or NHL, that was 70 percent higher on a
17 natural log scale than the adjusted estimate. As a
18 result, effect estimates were expected to be inflated
19 in the absence of statistical control. Besides co-
20 exposure to other pesticides there are other potential
21 confounders. For example, in the case of NHL,
22 occupational exposures to diesel exhaust fumes,
23 solvents and UV radiation are likely confounders that
24 were adjusted for in any of the available studies.

1 In terms of statistical analysis,
2 considerations or whether the statistical analysis was
3 appropriate, whether there was a sufficient sample
4 size. Evaluating some of the analytical decisions.
5 For example, were any of the subjects left out of an
6 analysis for one reason or another. And how well the
7 statistical analysis was reported.

8 The internal validity of the studies
9 reviewed was judged by noting the design strategies
10 and analytical methods used in each study to constrain
11 or eliminate selection bias and information bias.

12 Selection bias can occur when the
13 sampling of the population by the investigator yield
14 the study population that's not representative of the
15 exposure and outcome distributions in the population
16 sampled.

17 Put simply, selection bias occurs if
18 selection of the study sample yields a different
19 estimate of the measure of an association than that
20 which would be obtained had the entire target
21 population been evaluated. Selection bias in the
22 currently reviewed studies may have been induced by a
23 low participation rates, lost to follow up or
24 selection methods of controls in case control studies.

1 Information bias arises when study
2 participants are incorrectly characterized with
3 respect to their exposure or outcome status. In the
4 currently reviewed studies, misclassification may be
5 due to recall bias from subjects or proxy respondents,
6 an interviewer or observer bias.

7 The results of our quality analysis
8 yielded three high quality studies. One was a cohort
9 study utilizing the Agricultural Health Study in two
10 case controls. The first De Roos et al. (2005) was
11 the only available cohort study identified for
12 evaluation.

13 As part of the Agricultural Health
14 Study over 54,000 private and commercial applicators
15 and their spouses were recruited as subjects. The
16 publication evaluated the association of glyphosate
17 and numerous cancer outcomes including solid and non-
18 solid tumor types.

19 As part of this study, exposure
20 information was collected at enrollment from subjects
21 for glyphosate as well as other pesticides. In
22 addition to covariates and other potential risk
23 factors. There were three exposure metrics utilized,
24 ever/never use, cumulative lifetime exposure and

1 intensity-weighted cumulative exposure. There were
2 numerous factors adjusted and/or considered and this
3 included co-exposure to other pesticides.

4 The second study, Koutros et al. (2013)
5 is a nested case control study within the age as
6 cohort that evaluated the association between
7 pesticide use and prostate cancer. Exposure and other
8 covariant information was collected again at the time
9 of enrollment from the subjects.

10 From enrollment, the follow-up time was
11 approximately ten plus years. In addition to
12 reporting effect estimates using cumulative exposure
13 and intensity-weighted cumulative exposures metrics,
14 unlagged and 15-year lagged analysis were conducted as
15 part of the study.

16 The last high quality study was
17 Eriksson et al. (2008), which is a population based
18 case control study from Sweden. In this study
19 physicians treating lymphoma within specified health
20 service areas identified cases and exposure
21 information was then collected from the subjects. An
22 effect estimate was reported for ever/never use with
23 multivariate analysis that adjusted for co-exposure to
24 particular pesticides, including glyphosate. There

1 was also a latency analysis performed, however the
2 sample size and covariant adjustments were not
3 specified for that analysis.

4 Twenty-one studies were assigned a
5 moderate quality ranking. All of these were case
6 control studies and shared many design
7 characteristics. Exposure information was collected
8 from subjects and or proxies. The study populations
9 were from several countries and the sample size varied
10 across these studies.

11 However, all of them utilize state or
12 national registries or surveillance programs for
13 outcome assessment. It was noted that none of them
14 accounted for exposure to other pesticides.

15 Seven case control studies and twenty-
16 seven descriptive studies were ranked as low quality.
17 All except two were not subjected to detail
18 evaluations since most reported based on a total
19 pesticide exposure. In many instances glyphosate
20 exposure was assumed and no glyphosate specific
21 information was collected.

22 There were also studies that did not
23 evaluate a cancer outcome. Cocco et al. (2013) was
24 one of the two studies that received detailed

1 evaluation. Although, the study was included in the
2 IARC and 2015 CARC evaluations, there was very low
3 study power with only four cases and two controls.
4 There was also inconsistent control selection with a
5 mix of hospital and population based controls. A
6 difference in overall participation rates was noted.
7 Such that population base participation was lower.

8 And lastly, the study only reported
9 ever/never use without accounting for confounders
10 including exposures to other pesticides. The other
11 study evaluated in detail that received a ranking of
12 low was Koureas et al. (2014). It was a cross-
13 sectional study performance with 80 pesticide sprayers
14 in Greece.

15 As I mentioned earlier, this study
16 evaluated oxidative DNA damage rather than a tumor
17 type. And it reported a non-statistically significant
18 affect estimate for glyphosate. However, there was no
19 adjustment for standard covariates or potential
20 confounders and there was questionable study power
21 given the number exposed to glyphosate was not
22 reported.

23 The immunoassay used for outcome
24 assessment has low specificity and there are other

1 analytical methods available that are more sensitive.
2 Such as HPLC with electric chemical detection or GCMS.

3 Lastly, it was noted that the study
4 evaluated primary DNA damage, but does not measure the
5 consequence of that genetic damage. An increase in
6 oxidative damage may lead to cell death or initiate
7 DNA repair rather than lead to a mutation.

8 All of the high and moderate quality
9 studies were considered relevant to inform human and
10 carcinogenic potential of glyphosate. Studies
11 assigned a low ranking were not considered reliable to
12 evaluate the association between glyphosate exposure
13 and cancer outcomes due to limitations identified.

14 With respect to meta-analysis, caution
15 should be taken when interpreting the results. Meta-
16 analysis is a systematic way to combine data from
17 several studies to estimate a summary affect for
18 meaningful results, careful consideration of whether
19 studies are similar and should be combined in the
20 analysis. Furthermore, the bias and confounding
21 issues inherent for each individual study are carried
22 over into those meta-analyses.

23 I'm going to hopefully, briefly go
24 through each of the studies; as many of you know there

1 are quite a few. First starting with the solid tumor
2 types. As I mentioned many of the studies utilized
3 the Agricultural Health Study cohort. And in De Roos
4 2005 it evaluated numerous solid tumors, which
5 included all cancers and specific anatomical sites.

6 Additionally, there were nested case
7 control studies that evaluate specific anatomical
8 sites as well. No association was observed with
9 glyphosate exposure utilizing any of the exposure
10 metrics, ever/never used cumulative life time exposure
11 and intensity-weighted cumulative exposure for all of
12 the types of cancer listed there. I won't run through
13 all of them.

14 For prostate cancer, there were two
15 studies that utilized subjects from the age as cohort.
16 Neither found an association between glyphosate
17 exposure and prostate cancer. It was noted that both
18 of these identified cases during the prostate specific
19 antigen or (PSA) area, which means that the cases were
20 typical identified at an earlier stage in progression
21 of the disease.

22 A case control study in Canada was also
23 available that evaluated the association between
24 glyphosate exposure and prostate cancer. The study

1 was conducted prior to the (PSA) area so it included
2 more advance tumors before diagnosis. A non-
3 statistically significant effect estimate was
4 observed. It was noted that there was no adjustment
5 for exposure to other pesticides in this study. And
6 as I mentioned, in many of studies we noticed that
7 when adjustment was not made for other pesticides,
8 there was inflation of effect estimates.

9 For brain cancer, two case controls
10 studies were available. The first reported a non-
11 statistically significant effect estimate of 1.5.
12 There was no adjustment for exposure to other
13 pesticides and it was noted the results differed when
14 using subjects who self-reported their exposures as
15 compared to the proxy respondents.

16 In the other study, Yiin et al. (2012),
17 there was no association observed for home and garden
18 use or non-farm jobs. After adjusting for age,
19 education, sex and use of other pesticides.

20 There was only one study available each
21 for evaluating stomach cancer, esophageal cancer, and
22 soft tissue carcinomas. No associations were observed
23 with these tumor types despite a lack adjustment for
24 exposure to other pesticides. Control selection

1 issues were noted however in the soft tissue carcinoma
2 study.

3 Lastly, total childhood cancer was
4 evaluated in Flower et al. (2004), which is a nested
5 case control study in the Agricultural Health Study.
6 There was no association observed between maternal or
7 paternal exposure to glyphosate.

8 So overall, with respect to solid
9 tumors, no evidence of an association between
10 glyphosate exposure and any solid tumor types was
11 observed. Many of these, though, were limited to one
12 or two studies and most studies did not adjust for co-
13 exposure to other pesticides. In some cases, there
14 was low or questionable power in the case control
15 studies.

16 So now moving on into the non-solid
17 tumors. There were two studies considered relevant
18 for evaluating leukemia. In the cohort study De Roos
19 et al. (2005) there were no statistically significant
20 effect estimates observed using any of the exposure
21 metrics. And no trend with increasing exposure.

22 In Brown et al. (1990) there was no
23 association observed however, it was noted that there
24 was a relatively low number of cases exposed to

1 glyphosate. In addition, there was no adjustment for
2 co-exposure to other pesticides. Chang and Delzel
3 recently conducted a meta-analysis for leukemia using
4 these two studies as well as one that we ranked as
5 low. The meta-risk ratio was equal to the null.

6 For Hodgkin lymphoma, there were also
7 two case control studies available. Karunanayake et
8 al. (2012) found no association following adjustment
9 for age, Canadian province of residence and certain
10 medical history variables. There was no adjustment
11 for exposure to other pesticides.

12 In Orsi et al. (2009) a non-
13 statistically significant affect estimate of 1.7 was
14 observed. However, there was a low number of
15 glyphosate exposed cases in this study. Which yielded
16 a wider confidence interval for the estimate. Again,
17 no adjustment was made for exposure to other
18 pesticides. Chang and Delzel, also did a meta-
19 analysis using these two studies and the ratio came
20 out to 1.1.

21 For Leukemia and Hodgkin lymphoma there
22 was no evidence of an association with glyphosate
23 exposure. Both were limited to two studies for each
24 cancer type. In almost all cases there was no

1 adjustment for exposure to other pesticides and in
2 some instances, there was some questionable power
3 issues.

4 For multiple myeloma, there were five
5 studies available which included the cohort study and
6 four case control studies. The ever/never affect
7 estimates ranged from 1.19 to 2.6; all of these,
8 though, were non-statistically significant. The only
9 study to adjust for exposure to other pesticides was
10 the cohort study De Roos et al. (2005). However, it
11 was noted that a restricted dataset was used for its
12 fully adjusted model.

13 Two studies evaluated the exposure
14 response relationship. In the cohort study, there
15 were non-statistically significant trend and risk
16 ratios reported when stratified by tertile. A
17 statistically significant trend and risk ratio was
18 reported when stratified by quartiles. However, the
19 cases were sparsely distributed with the additional
20 stratification. And this also yielded particularly
21 wide confidence intervals.

22 Kachuri et al. (2013) stratified
23 subjects into light and heavy users. There was a non-

1 statistically significant increased odds ratio
2 reported for heavy users.

3 However, there was a low number of
4 cases in controls exposed to glyphosate in the study.
5 And again, there was no adjustment for co-exposure to
6 other pesticides.

7 As I mentioned there was a note that
8 the De Roos et al. cohort study used a restricted
9 dataset. Sorahan (2015) reanalyzed the full dataset
10 using Poisson regression. And compared the results to
11 the restricted dataset. An ever/never estimate of
12 1.12 was obtained and the author concluded that the
13 restricted dataset might not be representative of the
14 cohort population in that case.

15 And lastly, a study by Landgren et al.
16 (2009) was also available that looked at pre-clinical
17 marker of multiple myeloma. The study found no
18 association between glyphosate exposure and MGUS.

19 In a meta-analysis, Chang and Delzel
20 produced meta-risk ratios using four independent study
21 populations. Using those they consider prioritize
22 studies a non-statistically significant meta-risk
23 ratio of 1.4 was obtained.

1 When using alternative estimates for a
2 study population, for example, substituting the data
3 for De Roos et al. for Sorahan, relatively no impact
4 was seen on the meta-risk ratio.

5 At this time, the agency does not
6 believe that the epidemiological evidence for
7 glyphosate is adequate for multiple myeloma. The data
8 are limited due to potential confounding concerns.
9 There are concerns with the restricted dataset and
10 there are small sample sizes.

11 Additionally, there was a limited
12 observation of a possible exposure response
13 relationship in a single case control study, but this
14 observation was not seen in the cohort study and was
15 most likely limited by sample size.

16 For non-Hodgkin lymphoma or NHL, there
17 were six studies available, one cohort study and five
18 case control studies. Effect estimates using
19 ever/never use as an exposure metric range from 1.0 to
20 1.85. Although these estimates were non-statistically
21 significant, two of the studies did not adjust for
22 other pesticides and the small sample sizes were noted
23 in several case control studies. Meta-risk ratios
24 have been calculated by several researchers and have

1 ranged from 1.3 to 1.5, which were primarily non-
2 statistically significant.

3 Three studies evaluated the exposure
4 response relationship between glyphosate exposure and
5 NHL. In the cohort study, De Roos et al. reported
6 effect estimates less than one for cumulative and
7 intensity-weighted cumulative exposure metrics. And
8 this was the only study that adjusted for exposure to
9 other pesticides in this case.

10 In Eriksson et al. (2008), non-
11 statistically significant effect estimates were
12 reported when stratifying by days per year of use. A
13 statistically significant odds ratio was reported for
14 those with greater than ten years of use; however,
15 there was questionable power and a relatively wide
16 confidence interval. Furthermore, this estimate was
17 likely inflated given there was adjustment for
18 exposure to other pesticides.

19 Lastly, McDuffie et al (2001) reported
20 a statistically significant odds ratio for subjects
21 with more than two days of use per year. Again, this
22 is mostly likely inflated since there was no
23 adjustment for exposure to other pesticides. And it
24 should be noted that it's difficult to make

1 conclusions regarding dose response with only two
2 exposure categories as was used in the two case
3 controlled studies, Eriksson and McDuffie.

4 Across the six studies evaluating NHL,
5 several issues and concerns were discussed in the
6 white paper. As I have mentioned already there were
7 limited sample sizes in several of the case control
8 studies. In most instances, there was no control for
9 potential confounders such as exposure to other
10 pesticides as well as diesel exhaust fumes, solvents
11 and UV radiation.

12 Recall bias and missing data are also
13 limitations. The quality of the exposure assessment
14 is a major concern since the validity of the
15 evaluations depends, in large part, on the ability to
16 correctly quantify and classify an individual's
17 exposure.

18 The use of proxy respondents has the
19 potential to increase recall bias and thus may
20 increase exposure misclassification, especially for
21 those proxies that are not directly involved in
22 pesticide application and farming operations. They
23 may be more prone to inaccurate responses.

1 It was noted that higher effect
2 estimates were reported in studies during a period of
3 relatively low use of glyphosate. As I discussed
4 earlier today in the overview, glyphosate use has
5 dramatically increased following the introduction of
6 glyphosate tolerant crops.

7 If a true association exist, prevalence
8 alone would not be expected to result in corresponding
9 increase. However, the use pattern has changed since
10 the introduction of these crops; such that individuals
11 that were already using glyphosate are increasing
12 their exposure.

13 As a result, if a true association
14 exist between glyphosate exposure and NHL, then higher
15 effect estimates would be expected in more recent
16 studies. However, this trend was not displayed.

17 Some have argued that the follow-up
18 period in the cohort study is not sufficiently long to
19 account for the latency of non-Hodgkin lymphoma.
20 However, we have noted that the latency of NHL is
21 relatively unknown. Also, the current evaluation was
22 restricted to total NHLs since the sample sizes were
23 too small for those instances when subtypes were
24 evaluated.

1 There are approximately 60 subtypes of
2 NHL classified by WHO and there may be etiological
3 differences between them. Further analysis is really
4 needed to determine the latency time of NHL and NHL
5 subtypes.

6 In summary for NHL, the ever/never
7 effect estimates were relatively small in magnitude
8 ranging from 1 to 1.8 and were all non-statistically
9 significant.

10 There are conflicting exposure response
11 results between the cohort and case control studies.
12 There were several limitations and concerns identified
13 for these studies and at this time chance and/or bias
14 cannot be excluded as an explanation for any observed
15 associations.

16 And just mentioned, as part of question
17 2d, we specifically ask about our evaluation of the
18 NHL studies. Wrapping up, in this evaluation of the
19 available epidemiological studies, 58 individual
20 literature studies were considered; 24 of these were
21 ranked high or moderate and were used to inform the
22 carcinogenic potential of glyphosate. These studies
23 covered a range of solid and non-solid tumor types and

1 were mostly case control studies conducted in the
2 United States or Canada.

3 There was no evidence of an association
4 between glyphosate exposure and any solid tumor types,
5 leukemia or Hodgkin lymphoma. At this time, the data
6 are inadequate to evaluate the association between
7 glyphosate exposure and multiple myeloma, and for NHL
8 a conclusion could not be determine based on the
9 available data. At this time, I'm glad to answer any
10 questions before we would move on to the animal
11 bioassays.

12 **DR. JAMES MCMANAMAN:** Thank you Dr.
13 Perron. Dr. Johnson.

14 **DR. ERIC JOHNSON:** I'm not sure that
15 I'm missing something, but the three high quality
16 studies, you said there was one cohort and two case
17 control studies. But I think the Koutros et al. 2013
18 is a cohort study. They measure the rate ratio and
19 Poisson regression, so I don't see how it's classified
20 as a case control study unless I'm missing something.
21 Unless that's for the same reference.

22 **DR. MONIQUE PERRON:** Sorry, you're
23 asking about Koutros?

24 **DR. ERIC JOHNSON:** Yes, 2013.

1 **DR. MONIQUE PERRON:** That was a nested
2 case control study within the cohort. We spoke to
3 someone at AHS and they said that was a nested case
4 control study within it.

5 **DR. ERIC JOHNSON:** In the statistical
6 analysis in the paper, if you look at it, it's rate
7 ratios that they measured. And they did Poisson
8 regression. I didn't see anything about odds ratio
9 there on that paper. Unless it's the wrong reference.

10 **DR. MONIQUE PERRON:** I'm sorry this is
11 Monique Perron --

12 **DR. ERIC JOHNSON:** Maybe if you look at
13 the abstract it says that it was rate ratios that they
14 measure.

15 **DR. MONIQUE PERRON:** Okay. We can go
16 back in and look. I believe though, again, I spoke
17 with people at AHS and they classified it as a nested
18 case control study.

19 **DR. ERIC JOHNSON:** No. No.

20 **DR. MONIQUE PERRON:** As I mentioned
21 earlier, many nested case control studies share many
22 of the attributes of the cohort study they're in. So
23 -- but that's fine we can reclassify it as a cohort
24 study if that's more appropriate.

1 DR. ERIC JOHNSON: I think it's a
2 cohort study. Yes. It's a full cohort study.

3 DR. MONIQUE PERRON: Okay.

4 DR. JAMES MCMANAMAN: Other questions.
5 Dr. Green.

6 DR. LAURA GREEN: Hi, thank you. I
7 think we all stand in awe of the amount of work you
8 had to do. We're very mindful of the fact that
9 there's a heck of a lot of stuff to go through. Any
10 questions we have, I hope you appreciate come from
11 respect but also humility. We're not sure we could
12 have done all that work.

13 Having said that, I'd be curious to
14 know, within your health effects division, when you
15 look at other materials that you have to register or
16 reregister. I assume much of the time you have actual
17 exposure data which, to my mind, mean something, at
18 least, semi-quantitative or more precisely actually
19 quantitative; i.e., milligrams per kilogram per day or
20 levels in blood or levels in urine or something.

21 I don't know if that's true but I'd be
22 interested to know. It seems to me, unless I'm
23 missing something, that for glyphosate -- and I'm
24 wondering whether this is unique or kind of the usual

1 problem for you all. That when you say exposure here,
2 with regard to the epidemiologic studies, I didn't
3 see, even within the Agricultural Health Study, a
4 single number. Is that unusual or is that kind of
5 what you have to deal with all the time?

6 **MS. DANA VOGEL:** This is Dana Vogel,
7 I'm going to try not to speak too close to the mic.

8 **DR. LAURA GREEN:** Oh, I'm sorry, was I?

9 **MS. DANA VOGEL:** No, no, no. I been
10 doing that. If we talk about true exposure, a lot of
11 what we do -- there is a little bit of biomonitoring
12 data and Anna will explain the kind of biomonitoring
13 data that we get. But what we usually do, and the
14 context of our risk assessments, is there's a lot of
15 data submitted that's hazard data. There is not a lot
16 of data -- actual data -- that's submitted from the
17 registrant that's exposure data.

18 A lot of what we do, we get data from
19 other places. We rely upon other sources, especially
20 for dietary, we rely upon other sources. And a lot of
21 what we do, especially when we're talking about
22 occupational and residential exposure, is we have
23 policies and procedures that have been vetted where we
24 estimate exposure; so based on what we know about how

1 people are exposed, the label and how -- like for
2 instance the application rate and what we know about -
3 - if we're talking about agriculturally -- how people
4 would apply a pesticide, the different kind of
5 activities that might happen for a given crop.

6 As a handler, mixer/loader, post
7 application, we use all of that information to come up
8 with an exposure estimate for the different potential
9 scenarios of how people might be exposed,
10 occupationally, residentially, through the diet.

11 That's the majority of the data that we
12 have. Would like add anything? He's making faces,
13 he's the exposure expert. If I miss anything he's
14 going to come up and tell me. That's the majority of
15 what we do, but there's a lot of data that supports
16 those assessments. There are data that we have that
17 support how people are exposed through different post
18 application activities that they might conduct.

19 There are data that help us understand
20 how someone might be exposed given a certain kind of
21 application for a mixer/loader. There are data that
22 we've looked at to develop residential scenarios of
23 how different populations, given how pesticides is

1 applied, how people may be exposed, whether they're
2 applying it or whether it's post application.

3 We look at all the different routes for
4 a given scenario, but a lot of that work is based on
5 data that we have and our policies on how we put all
6 that data together to come up with an exposure
7 estimate.

8 **MR. JEFF DAWSON:** Sorry, Jeff Dawson,
9 Health Effects Division. The only thing I would add
10 is within, for example, the Agricultural Health Study,
11 the exposure metrics that are used as predictors, are
12 part of the same information that Director Vogel was
13 discussing, has been used in the development of pieces
14 of those exposure metrics as well. That's one thing
15 to think about.

16 **DR. ANNA LOWIT:** So this is Anna Lowit,
17 to add on to that. Based on what Dana and Jeff both
18 explained, our program has a very long history of
19 doing exposure assessment for both workers and
20 residential. All of our approaches have been heavily
21 peer reviewed by different parts of the SAP over the
22 last ten to fifteen years. Our exposure approaches
23 are heavily vetted and strongly supported.

1 Around the 2010 timeframe, we actually
2 brought to the SAP some case studies that we were
3 doing at that time, looking at the Agricultural Health
4 Study, and trying to do some comparison of their
5 binning of their exposures and how they match to our
6 exposure equations for workers in particular.

7 And it actually turns out that when AHS
8 was originally developing their exposure algorithm,
9 they came to us, to our program. And so there's
10 actually a strong correlation between their exposure
11 binning and our exposure assessments.

12 And there is a case study -- I think
13 it's a SAP from 2010, where we actually do some
14 analysis in the context of Atrazine. We actually went
15 through the Atrazine Agricultural Health Study and
16 compared it to how we had done some of our work. And
17 there's actually a really nice comparison there. They
18 don't provide numbers per se, but we have confidence
19 that they're able to accurately bend them.

20 **DR. LAURA GREEN:** So if I understand,
21 which perhaps I do not, the De Roos et al. (2005)
22 paper that you went over, contains zero quantitative
23 exposure assessment, right? There's no number. But
24 you separately have -- within your group -- so for

1 example they say high cumulative, you know, medium
2 cumulative, low cumulative, but there's no number.

3 What I'm asking is can we as a panel
4 get from you all, or get from the document, any
5 numeric matching so that when we look at the De Roos
6 et al. high cumulative exposure group, we can say to
7 ourselves, okay so that appears to be equal to XPPM
8 years or something like that. Or is that information
9 not available? Do you see what I'm asking?

10 **DR. MONIQUE PERRON:** So you are
11 correct. There's no quantitative exposure information
12 integrated in that study, as well as across any of the
13 studies. None of them do; they all do the same type
14 of questionnaire based information type of retrieval
15 for exposure information.

16 I think the one unique thing that Anna
17 just pointed out though, is that we have a lot of
18 confidence in the Agricultural Health Study because
19 we've actually worked with them and they've actually
20 utilized our exposure algorithm as part of their
21 binning of exposure.

22 In that case, we do have a little bit
23 more confidence in that type of metric. We can't
24 really speak towards the other ones. None of them

1 provided any of that type of information across any of
2 the studies. Whether it was solid or non-solid
3 tumors.

4 **DR. ANNA LOWIT:** So if it's okay to the
5 panel if our technical team -- most of the exposure
6 people are not here in the room -- we can speak over
7 lunch or may be to the afternoon on what could be
8 provided relatively quickly. If we would just have
9 you keep in mind that we're in the middle of doing our
10 risk assessment for registration review. It would not
11 certainly be complete and it would be some preliminary
12 things to give you a sense of the ballpark. But we
13 would have to get together as team and it certainly
14 wouldn't come today, tomorrow at the earliest.

15 **DR. LAURA GREEN:** Friday's fine.

16 **MS. DANA VOGEL:** And again, just
17 recognizing that it is an exposure estimate based on
18 our policies and procedure and how that compares to
19 what was actually happening. You know, it's just back
20 to what Anna said about what we know in our dealings
21 with AHS.

22 **DR. ANNA LOWIT:** And it might be
23 informative, if we can -- because SAP staff can help
24 you find the link to the SAP where we looked at the

1 occupational assessment where we've done some cross
2 validation with my biomonitoring studies to show how
3 our occupational assessments match the biomonitoring.
4 And I'm looking at Jeff because he did that work.
5 That may also help you ground truth sort of some of
6 where --

7 **DR. ANNA LOWIT:** Great. Thank you very
8 much.

9 **DR. JAMES MCMANAMAN:** Okay. We had Dr.
10 Crump over here had a question.

11 **DR. KENNY CRUMP:** I noticed that with
12 the animal data that EPA did a lot of analysis of the
13 data and published your own analysis. I wonder if
14 there was any attempt to do the same with the
15 epidemiological data. I know that, I think, at least
16 some of these studies were paid for by federal funds
17 so the data should have been available.

18 And one reason that I'm interested is
19 that there were several studies where I wondered why
20 they did the analysis this way. And I wondered what
21 they would have gotten if they had done the analysis
22 another way. And I would be interested in an answer
23 to that question. I just wonder if you ever retrieved
24 any of the data, or tried to retrieve any of the data,

1 from any of these studies to do your own analysis of
2 them?

3 **DR. MONIQUE PERRON:** At this time, we
4 do not have access to any of the data for any of these
5 studies. I don't know of anybody else have anything
6 to --

7 **DR. ANNA LOWIT:** With respect to the
8 Agricultural Health Study, we could put in a request
9 if -- let's say for one of the AHS studies, whether
10 it's the De Roos cohort or one of the nested case
11 controls, if there was an initial analysis that one of
12 panel members thought would be useful, there are
13 processes by which we can request and receive those
14 data. In fact, we've done some collaborative analysis
15 with them and our preference would be, I think, to
16 work with the NCI staff to do that.

17 But it's certainly within your purview
18 to recommend some of those suggestions. But all
19 federally funded studies we don't necessarily have
20 access to it. It depends on which ones.

21 **DR. KENNY CRUMP:** Well, I think the
22 reanalysis of De Roos study that was published gave
23 some useful additional information to help interpret

1 that study. I think that might be true of other
2 studies as well if we could get the data.

3 **DR. JAMES MCMANAMAN:** Okay that was Dr.
4 Lowit. Dr. Taioli.

5 **DR. EMANUELA TAIOLI:** So my general
6 question as an epidemiologist, we are used to looking
7 at several other pieces to come to conclusions. We
8 think about looking at levels of the compound in the
9 body or, in this case will be urine because I
10 understand it's excreted. How much is, you know, in a
11 sample of people, we're interested in looking at the
12 environmental exposure, in this case diet, and then we
13 look at the occupational exposures.

14 Now here you have a lot. You have some
15 data on occupational exposure, but where are the other
16 pieces? I can't believe that with all the cohort
17 studies that are available, here and in Europe, nobody
18 has taken the time to look at the urinary levels
19 necessary with cancer, which is a very straightforward
20 piece of information, because this is very lacking.
21 There is a lot missing here.

22 **DR. MONIQUE PERRON:** Yeah, you're
23 correct. As of right now we are not aware of any
24 studies that utilized biomonitoring exposure for their

1 exposure estimate and correlated it with a cancer
2 outcome. There are some studies available that have
3 just looked at urine levels in particularly farm
4 workers and not surprisingly.

5 I think the interesting thing there is
6 that the urinary values didn't necessarily always
7 correlate with their exposure level. You might see a
8 low urinary value for somebody who is binned into the
9 high category. I think this goes back to some of the
10 issues I kind of brought up earlier today, where this
11 chemical is not very well absorbed and there's not a
12 very long -- there's not a high prediction of whether
13 it will sustain a biological dose.

14 There may be issues with it. That
15 might be why people have not gone that route. I'm not
16 sure, I can't really speak towards that. But at this
17 time, we don't have any epidemiological studies that
18 looked at the data that way.

19 **DR. ANNA LOWIT:** This is Anna Lowit. I
20 will add one thing to that. With respect to
21 interpreting urinary biomarkers for glyphosate, first
22 it's poorly absorbed; that which gets absorbed is
23 quickly released from the body. Within 24 hours an
24 exposure is likely to be gone from the body.

1 An epidemiology study that would use
2 that urinary biomarker would be heavily controlled.
3 Because you'd have to match the taking of the urine
4 with the applications. The note that Monique said
5 about the biomonitoring data we do have, they don't
6 necessarily match to -- application time and the
7 amount in the urine don't match because of that rapid
8 excretion.

9 **DR. JAMES MCMANAMAN:** Thank you Dr.
10 Lowit. Other questions, David.

11 **DR. DAVID JETT:** So the only thing I
12 was thinking -- the general question is maybe a yes or
13 no answer. But for me, you know, the issue of
14 multiple exposures -- exposures to other pesticides is
15 huge in way I'm thinking about this. I mean, you
16 know, we heard this a lot with a lot of the studies
17 that this was one thing that sort of reduced the level
18 of confidence. Is there a standard way that EPA tries
19 to adjust for multiple exposures? Can it even be
20 done?

21 **DR. MONIQUE PERRON:** We didn't conduct
22 any of these studies, first of all. In our
23 evaluation, typically multivariant analysis are the
24 primary way that they adjusted for the co-exposure to

1 other pesticides. In a simpler term, you may include
2 it in your regression model as a covariate.

3 I think that's all a part of what I was
4 talking about and one of the study quality
5 considerations is, you know, how are you adjusting for
6 different covariates and confounders, and do you think
7 that's appropriate. That's primarily what we're
8 looking at because, as I said, we are not conducting
9 the studies and we don't have access to the data
10 typically, almost all the time.

11 If we did, we could evaluate what we
12 think would be the most appropriate, depending on the
13 study, but as a long-winded answer, no we don't have
14 an exact way that we do it since we're not actually --

15 **DR. DAVID JETT:** Wouldn't you need to
16 know about the carcinogenic potentials of these other
17 pesticides as well? And that's sort of the limiting
18 factor -- well, one of the limiting factors, I think.

19 **DR. MONIQUE PERRON:** Sure. For it to
20 be considered a confounder it needs to have some
21 association with glyphosate as well as the cancer
22 outcome of concern. In that case, you would consider
23 it a confounder, but then also things like age and sex

1 are covariates. Maybe it might just be an important
2 covariate that needs to be adjusted for.

3 It may not be necessarily causing the
4 cancers, but you may need to adjust for it to make
5 sure that you are getting an accurate effect estimate.

6 **DR. ANNA LOWIT:** This is Anna Lowit. I
7 want to add one quick thing to that. I think the
8 question about being able to control for other
9 pesticides highlights the power of the Agricultural
10 Health Study; that they're looking at the -- at least
11 at the time they started -- the fifty most heavily
12 used pesticides here in the U.S.

13 And so that at least for those they're
14 able to -- because the individual growers reported
15 what they had been using, so they can do appropriate
16 matching of an individual and what they may be using
17 at the same time or across the same years. I think it
18 really highlights the value of the AHS.

19 **DR. JAMES MCMANAMAN:** Dr. Green.

20 **DR. LAURA GREEN:** This is Laura Green.
21 To Dr. Jett's point, as Professor Johnson mentioned
22 earlier this morning, absent any epidemiologic or
23 clinical study of men and women who make glyphosate, I
24 think we're all at a bit of a loss. Clearly, if we

1 had data on glyphosate manufacturers, and that's all
2 they make, well that obviates the confounding issue.
3 And I would argue, and I'm surprised that the draft
4 document does not discuss this more broadly, as I'm
5 sure you all know because you work with farmers a lot,
6 for many decades NHL has appeared to be at slight
7 excess among farmers.

8 There are many hypothesis as to why
9 this is. Some of them revolve around herbicides,
10 fungicides, rodenticides and other insecticides, other
11 pesticides. Some revolve around antigenic stimuli
12 that are present on farms and not in urban settings,
13 for example.

14 And this is another reason, I think,
15 that all the money being spent on the Agricultural
16 Health Study might perhaps be better spent if you were
17 in a position to ask your registrants to look at their
18 workers; and maybe not in the U.S. where industrial
19 hygiene is good, but maybe again in China -- not to
20 pick on China.

21 But, if you had the power to ask your
22 registrants to look at their own workers, even if it
23 were only to, let's say, look for chromosomal
24 abnormalities and circulating lymphocytes, right.

1 I mean there's lots of ways to do this,
2 you don't have to wait for fraying cancers, although
3 that would be nice. It just strikes me as very odd
4 that the entire draft document is in sort of three
5 pieces.

6 There's the very high dose rodent data
7 on, as I've said before, I believe, the wrong molecule
8 because it's not the isopropylamine, but that's
9 another issue. Then there's this epidemiologic data
10 which Dr. Perron and her colleagues have very
11 carefully shown is --

12 **DR. JAMES MCMANAMAN:** Dr. Green, is
13 there a question here? Is this clarification or is
14 there a comment?

15 **DR. LAURA GREEN:** -- All right, I'll
16 stop. But I'm trying to --

17 **DR. JAMES MCMANAMAN:** -- I think it's
18 an important comment but --

19 **DR. LAURA GREEN:** -- I'm trying to help
20 you get what I think would be reliable scientific data
21 that wouldn't be plague by confounders.

22 **DR. JAMES MCMANAMAN:** But I think that
23 for the end of the charge question more appropriately.

1 I think it's a good question but just in a little
2 while. Over here.

3 **DR. ARAMANDLA RAMESH** This is Ramesh.
4 If occupational exposure to glyphosate comes under the
5 purview of OSHA but not EPA, how come occupational
6 exposure to diesel exhaust fumes was viewed as a
7 confounder for non-Hodgkin lymphoma?

8 **DR. MONIQUE PERRON:** So just to clarify
9 manufacturing and production of glyphosate is not
10 under our purview. Occupational applications,
11 mixing/loading or even workers who go into a treated
12 field after it's been treated with glyphosate, those
13 are under our purview, just to clarify. It's not all
14 occupational that's under our purview. There are
15 certain aspects such as production and manufacturing
16 that is not under the purview of OPP.

17 **DR. JAMES MCMANAMAN:** Okay Dr. Zhang
18 had a question.

19 **DR. MONIQUE PERRON:** This is Monique
20 Perron, again I keep on forgetting

21 **DR. JAMES MCMANAMAN:** Dr. Perron.

22 **DR. MONIQUE PERRON:** I keep on
23 forgetting. Just on the occupational diesel exhaust
24 fumes side, that is considering diesel exhaust fumes

1 while they are applying or mixing or loading anything,
2 that type of exposure. It is applicable for the
3 current evaluation that we're discussing.

4 **DR. JAMES MCMANAMAN:** Dr. Zhang.

5 **DR. LUOPING ZHANG:** Hi, this Luoping
6 Zhang from Berkley. Just want to cover one practical
7 question and just to try save our time. I noticed you
8 have three categories, high, medium and low; and you
9 include the three highs in the 21 medium, right, so
10 total is 24 studies. But in your documents and in our
11 charge question there's only 23 studies. Last night I
12 reviewed the reports again, one sentence just says,
13 okay 23 of the 24, but didn't say which one dropped
14 and also why you dropped that one. It's definitely
15 from medium, so this is question number one.

16 **DR. MONIQUE PERRON:** Sure, I apologize.
17 Sorry, I apologize. That would be a typo. All of the
18 studies that were high or moderate were included. All
19 of them. If they were high or moderate, they were
20 included.

21 **DR. LUOPING ZHANG:** So then that's 24,
22 but our charge question is 23.

23 **DR. MONIQUE PERRON:** Exactly, it was a
24 typo. I apologize.

1 **DR. LUOPING ZHANG:** There is a sentence
2 to say 23 or the 24.

3 **DR. MONIQUE PERRON:** Yes, I apologize
4 for that.

5 **DR. LUOPING ZHANG:** So I couldn't find
6 it anywhere.

7 **DR. MONIQUE PERRON:** There were a lot
8 of moving parts during this process.

9 **DR. LUOPING ZHANG:** Okay. This is my
10 question number one. Can I ask a next question?

11 I also noticed from your presentation,
12 from all the low-quality group, all except the two,
13 not subject to detailed evaluation, which of course
14 you went through the cohort 2013 and 2014. I'm just
15 curious, you know, since it's the low, I didn't really
16 pay attention to look the original.

17 But I just wondered, thinking you may
18 already know, what's like Cocco 2013, what's their
19 findings just roughly. Definitely, they did a cancer
20 outcome. And then you list all the reason why you
21 excluded, because is the one IARC included in this
22 study and also your 2013, CARC evaluation was
23 included.

1 So back to my earlier question, I
2 thought from the earlier data one, everything included
3 in 2015 CARC evaluation is included in the current,
4 but here in Cocco 2013, it's not.

5 **DR. MONIQUE PERRON:** So this is Monique
6 Perron. I will remember one of these times. As we
7 discussed during the systematic review, it was covered
8 in the evaluation, but we went to quality evaluations
9 at that point afterwards to determine which ones are
10 relevant and could inform the human carcinogenic
11 potential of glyphosate.

12 In the case of the study you're talking
13 about Cocco, it was only four cases and two controls.
14 I don't necessarily remember exactly what the effect
15 estimate came out to be for that study, but
16 regardless, we did not think that the study was robust
17 enough to be included. It was put into the low
18 category at that point. I don't know if that
19 clarifies it a little bit more for you.

20 All of the studies in the 2015
21 evaluation were considered as part of this evaluation.
22 That's what we meant, was that all of them were
23 considered. And then going through the study quality
24 evaluations, they were then binned into whether they

1 were considered high, moderate or low at that point.
2 And if they were low, we then determined that those
3 studies would not be informative for our issue of
4 concern.

5 **DR. ANNA LOWIT:** So this is Anna Lowit.
6 I'm going to add some big picture thought to what
7 Monique said. I think to some degree the difference
8 between what you see in the CARC and what you see in
9 the white paper, that you're to review, is an
10 evolution that's occurring within our office as we
11 bring in systematic review.

12 In 2015, we had a smaller number of
13 epidemiology studies, we had a smaller number of gene
14 toxin and animal studies. We had some had awareness
15 that that was not a complete set of the information.
16 The other thing is as we -- so we've done the
17 systematic review with the literature search, but what
18 we've also done is a more transparent objective look
19 at those studies and how we grade them and how we
20 weight them.

21 If you go back to the CARC, it's a
22 little bit unclear how those studies were graded and
23 how they were weighted in the analysis. Whereas, in
24 the new paper it should be more clear how we evaluated

1 them and how we've weighted them. We've made that
2 evolution, which we think is an improvement to our
3 analysis.

4 **DR. JAMES MCMANAMAN:** Dr. Sheppard.

5 **DR. LIANNE SHEPPARD:** I wanted to make
6 sure that there's a correction registered in the
7 record. You mentioned both in your presentation and
8 just now, the Cocco paper, it's four cases and two
9 controls, they're exposed. The study's actually much
10 larger than that. Several places in the document the
11 word exposed is left out. And it becomes, I think,
12 quite misleading when you leave that word out.

13 **DR. MONIQUE PERRON:** Yes, thank you for
14 that clarification. When we're discussing the low
15 sample sizes we're referring to the glyphosate exposed
16 cases on the glyphosate exposed controls in that case.
17 We apologize for that oversight.

18 **DR. LIANNE SHEPPARD:** Yeah. Another
19 thing in your presentation, you said the Agricultural
20 Health Study was spouses and applicators, but in fact,
21 the De Roos paper is only applicators. And there's
22 very, very few women, implying also that there are no
23 spouses.

1 I know there are some of the data
2 analysis of the Agricultural Health Study that
3 includes spouses, but most of them appear to me to not
4 include spouses.

5 **DR. MONIQUE PERRON:** Yeah. Your
6 correct, sorry. When I was discussing the
7 Agricultural Health Study I was speaking towards it
8 broadly at that point because it did enroll both
9 subjects and their spouses. But for De Roos, yes, it
10 was only the subjects.

11 **DR. LIANNE SHEPPARD:** To maybe get more
12 into the decision making you all made, can you help me
13 think about the relative weight of the ranking of all
14 the criteria that you used? Like was there something
15 that trumped everything else in terms of up or down
16 weighting it?

17 **DR. MONIQUE PERRON:** I would say that
18 the co-exposure to other pesticides, we tended to
19 focus on greatly. Overall, we tried to look across
20 all of the aspects, the key considerations, to see
21 where we thought that they would be appropriately
22 ranked.

23 We tried to capture that in table 3.1
24 in the study matrix that goes to those key

1 considerations. I think the only thing that maybe you
2 can say is what you put it is trumping maybe -- I
3 wouldn't say trumping; I would say that it was heavily
4 weighted.

5 Yeah. It was heavily weighted whether
6 or not that adjustment was weighed because we noticed
7 across many of the studies how much that impacted the
8 effect estimates. I'm not sure if that answers your
9 question or not.

10 **DR. LIANNE SHEPPARD:** Yeah. And how
11 did you weight the power considerations?

12 **DR. MONIQUE PERRON:** So typically, if
13 they were what we -- because there's not bright line
14 on what is considered low, very low, and not adequate.
15 We had some discussions about how some people do try
16 to have their bright line, but that varies across
17 different people. Some people think it's ten; some
18 people think it's twenty.

19 If it was less than ten, we definitely
20 thought that that was very low for the study power.
21 In the tens to twenties, you know, we said
22 questionable in many of those cases. Then past that,
23 a lot of time we didn't really note it as being low or
24 moderate at that point.

1 **DR. LIANNE SHEPPARD:** So by ten you
2 mean exposed cases and controls or you mean something
3 else?

4 **DR. MONIQUE PERRON:** Yes, ten, like in
5 terms of the exposed cases, because -- typically with
6 the case control studies considering there's only one
7 cohort here or two. So yes. We're talking about the
8 exposed cases and the exposed controls that I'm
9 speaking towards, thank you.

10 **DR. LIANNE SHEPPARD:** Yeah, and so
11 just as a -- and we'll get this later, but I would
12 probably refrain from talking about it as power.
13 Because once a study's done, the effect estimate and
14 the confidence interval will give you all the
15 information you'll actually need. A better way to
16 frame it would be just, you know, low numbers as
17 opposed to power. Because that implies you can do
18 power calculations after a study's done and really the
19 study results contain everything you need.

20 **DR. JAMES MCMANAMAN:** Dr. Taioli.

21 **DR. EMANUELA TAIOLI:** Yes, Emanuela
22 Taioli. I have one point about your presentation as
23 well. You have in the text as well, when you talk
24 about the Eriksson as in the example that by adjusting

1 the odds ratio you go down 40 percent adjusting. I
2 went back and looked at the paper, it's not adjusted
3 for the other pesticides; it's adjusted for age,
4 gender and personal variables.

5 It is not a good example to bring to
6 your point because the -- I went back and look at the
7 paper before leaving and it's basically the same odds
8 ratio, but adjusted for covariate. Your example was
9 about adjusting for other pesticides. That's what you
10 wanted to portray.

11 **DR. MONIQUE PERRON:** So Eriksson
12 performed -- sorry this is Monique Perron -- Eriksson
13 did perform a multivariate analysis, which included
14 other pesticides and that was what we were comparing
15 to the unadjusted at that point.

16 **DR. EMANUELA TAIOLI:** Go back and look
17 at the numbers. Maybe the numbers that were extracted
18 are not appropriate for your point.

19 **DR. MONIQUE PERRON:** Okay, we'll go
20 back and check. Thank you.

21 **DR. JAMES MCMANAMAN:** Dr. Zhang.

22 **DR. LUOPING ZHANG:** This is Luoping
23 Zhang. Could you put back to your slide number four,
24 number four and five? I just have -- current review.

1 DR. MONIQUE PERRON: This one?

2 DR. LUOPING ZHANG: Yes. You have the
3 one -- from the bottom number two, studies with the
4 most complete analysis utilizing the greatest number
5 of cases and the controls evaluate for ranking.

6 From the back slide, I think that
7 that's also on the third one. If you go next slides.
8 My understanding -- it's like the third bar, right.
9 That's how you evaluate. Is that how you compare it
10 if the papers study from the same. It's from the
11 same. Then you are picking up a one, which you use
12 most -- you know, most subjects you include in most of
13 the cases. So not from different studies, is that
14 correct?

15 DR. MONIQUE PERRON: Right. This is
16 Monique Perron. Those are primarily regarding pooled
17 analysis. In the paper, it discusses how the same
18 study population was looked at and then what happened
19 was another study came along and pooled the analysis
20 from those.

21 If you actually look in the back -- I
22 don't remember which appendix. But there are actually
23 little family trees to show you how the different
24 studies are related. In one case, it's the same exact

1 study except that they, you know, did a follow up a
2 few years later on the same exact study population.

3 In other cases, it was maybe three
4 different studies that were pooled together to make
5 the number of exposed cases and controls, in that
6 case. That's what those are referring to. If you
7 look at that appendix, I think, it might be clearer
8 how those studies relate to one another.

9 And then in one of the tables that goes
10 through the different studies, we note, you know, this
11 study did not get a detailed evaluation because it was
12 included as part of another study. And it usually
13 says what that study was. I believe that is all noted
14 fairly well along the way in the white paper.

15 **DR. LUOPING ZHANG:** Okay. For that you
16 use data from the same source.

17 **DR. MONIQUE PERRON:** You're using the
18 one that is the most, yeah, the most complete
19 analysis.

20 **DR. LUOPING ZHANG:** Just for
21 clarifying, you mean the pool analysis, but you don't
22 really mean meta-analysis in this case.

23 **DR. MONIQUE PERRON:** Correct, this is
24 not meta-analyses, no.

1 **DR. LUOPING ZHANG:** My next question is
2 for the non-Hodgkin lymphoma, they are three recent
3 meta-analyses and it could have been the only meta-
4 analyses. You show, from your presentation, they all
5 consistently show the positive association. I'm just
6 wondering how, out the end -- how your conclusion
7 come.

8 I mean, for any of these
9 epidemiological studies, there's always some
10 uncertainty for most of the human studies, right. So
11 now meta-analyses are the one to sort of help us to
12 see difference between studies. And it was three
13 independent meta-analyses consistently show some
14 association. So how could, you know, your documents
15 come up with that? Just help me to understand the
16 conclusion.

17 **DR. MONIQUE PERRON:** Sure. This is
18 Monique Perron, when you're referring to three meta-
19 analyses, I should say that one of them was an update
20 after the Sorahan re-analysis of the De Ross. It's
21 actually the same meta-analyses, just including some
22 of the more up to date data.

23 I think they also did a -- but it's
24 actually the same studies. In many of these cases

1 with the meta-analyses across those, it was all the
2 same studies, just small tweaks here and there. Which
3 is why you're finding them all to come out about the
4 same.

5 There are not strong differences
6 between those meta-analyses to act like those are, you
7 know, three independent type of things. Just to
8 clarify they are strongly related, each of them, in
9 their base. But as I mentioned earlier, I think that
10 caution has to be taken when you do meta-analyses and
11 interpret them.

12 First of all, you're combining cohort
13 study with case control studies. Your taking some
14 that adjusted for co-exposure to pesticides where some
15 didn't. You have all the limitations that you have
16 noted along the way in those individual studies, and
17 carrying them over into your meta-analyses, including
18 several that the sample sizes were quite small and
19 resulted in wide confidence intervals; which the meta-
20 analyses were typically non-statistically significant.

21 When they were, it was because it was -
22 - 1.03 was the lower bound of the confidence interval.
23 We're talking very borderline here. Not that, you
24 know, it's 1.2 to 1.5, you know, around it.

1 None of them were really what I would
2 consider statistically significant in my mind.
3 As much as we looked at the meta-analyses, I think the
4 evaluation of the individual studies is a better
5 analysis. I don't really put a lot of weight onto
6 meta-analyses. I think they're an indication that
7 they are showing that there's a relatively small
8 magnitude seen, actually, in the increase of the risk
9 estimate. Your 1.3 to 1.5, you're not very far from
10 the null and they're all non-statistically
11 significant. It's not just the number by itself. We
12 have to consider all of the information that goes into
13 that one number.

14 **DR. LUOPING ZHANG:** You said that in
15 your mind if it's significant or not, I think, the
16 data itself would say if it was significant, if 95
17 percent confidence interval, it's over, yeah.

18 **DR. EMANUELA TAIOLI:** I think we need to
19 be a little careful. I don't want to go into
20 discussion for the charge. First of all, meta-
21 analyses are one of the methods to look at a situation
22 like this when you don't have enough data.

23 We don't want to be discount, with all
24 the limitation, because the epidemiologist is science

1 of limitations, but that's what you have. The other
2 thing is that, all the book says, when you have one
3 that's you are significant, one is one.

4 There is another example where you have
5 for multiple myeloma is 1.4 and the confidence
6 interval is 1.0, and you said non-significant; that's
7 significant for all of us. We have to be careful with
8 that. And the other thing is that one of the meta-
9 analyses has done a lot of sensitivity analyses,
10 taking out of the cohort study.

11 Taking out the one -- adjusting -- and
12 the odds ratio fluctuates between 1.3 and 1.7. It has
13 a little variation, but it's always constantly with 1
14 as a low confidence interval, so we need to describe
15 this in an objective way, in an appropriate way.

16 **DR. JAMES MCMANAMAN:** Dr. Taioli, can
17 you include those during your --

18 **DR. EMANUELA TAIOLI:** It is. It is. I
19 don't want to go into the afternoon discussion, but.

20 **DR. JAMES MCMANAMAN:** Yeah, this is not
21 -- yeah, we're not. It's becoming a discussion.

22 **DR. MONIQUE PERRON:** I'd appreciate any
23 of those comments to characterize it more accurately.

24 **DR. EMANUELA TAIOLI:** Yeah.

1 **DR. MONIQUE PERRON:** I will again say,
2 though, that I think that there has to be some caution
3 in the meta-analyses and you can't just disregard the
4 limitations of individual studies when you look at a
5 meta-analysis.

6 I understand what you're saying, but at
7 the same time I think it's one part of the story. And
8 actually, in some ways it also shows the small
9 magnitude of the change. That even when you group all
10 of those together, you're not, you know, all of a
11 sudden up in the threes or fours or anything like
12 that.

13 Just remembering that it was considered
14 as part of the full evaluation, it wasn't necessary
15 just discounted. We just took a lot of caution;
16 especially considering a lot of these meta-analyses
17 when it was two studies, three studies. Even in the
18 case of NHL, we only have six studies. Meta-analyses
19 are generally more robust when there are, you know,
20 when they looked at some of the genotoxicity where
21 there's like two hundred.

22 I think that we also have to remember
23 that we're just in a limited space here,

1 unfortunately, when it comes to epidemiological data.

2 Thank you, though, for the comments.

3 **DR. JAMES MCMANAMAN:** All right Dr.
4 Johnson.

5 **DR. ERIC JOHNSON:** I agree that the
6 issue of exposure to all the pesticides are one of the
7 most important consideration which you've addressed.
8 And I think that Dr. Jett has also pointed that that
9 is one of the most important consideration
10 interpreting this data. But another factor is the
11 issue of farmers being exposed to oncogenic viruses.
12 Many people may not know this, but excess risk of
13 hematopoietic lymphatic cancers have been observed in
14 farmers way back in the 1930s, before the introduction
15 of pesticides.

16 And it's frustrating for me personally
17 that you look at all -- we spend so much money on all
18 these pesticide studies and people have not collected
19 data on exposure to animals and oncogenic viruses. I
20 think the Heidel (sic) study and one other study,
21 which looked at animals, they found significant risk
22 for exposure to animals. That's an issue which we
23 have to consider. These studies are deficient.

1 **DR. JAMES MCMANAMAN:** All right thank
2 you. Okay. I think we've trumped this issue long
3 enough. It's 12:30 and I think it's time to break for
4 lunch for an hour. We'll meet back at 1:30.

5

6 **[WHEREAS A LUNCH BREAK WAS TAKEN]**

7 **DR. JAMES MCMANAMAN:** Is the agency
8 ready to go? I think it's you. All right. I just
9 checked with the audio person, just to remind you, I
10 guess I'm about at the right distance right now.

11 Carlos? Good? Okay.

12 This is about where you should be when
13 you speak into the microphone, otherwise it gets kind
14 of garbled back there if you're too close or too far
15 away. With that, let's get started.

16 **DR. ANWAR DUNBAR:** Good Afternoon. My
17 name is Anwar Dunbar and I'm going to discuss the data
18 evaluation of Animal Carcinogenicity Studies of the
19 issue paper.

20 Okay. I'll start again. Good
21 afternoon. My name is Anwar Dunbar and I will be
22 discussing the data evaluation of Animal
23 Carcinogenicity Studies for the white paper.

1 My talk is going to follow this
2 outline. I'm going to give an introduction discussing
3 the significance and purpose for the rodent
4 carcinogenicity studies, our determination of study
5 quality for analysis, our identification of studies
6 for analysis and our considerations for determining a
7 chemical's carcinogenicity coming from our 2005
8 guidelines for carcinogen risk assessment. I will
9 then discuss the rat carcinogenicity data from our
10 analysis, the mouse carcinogenicity data analysis and
11 then I'll talk about what's known about glyphosates
12 ADME profile and then I'll conclude.

13 Under the CFR, carcinogenicity studies
14 are required in two separate species for food uses or
15 for pesticides that are likely to result in repeated
16 human exposure or a considerable portion of the human
17 life span. Cancer bioassays in animals historically
18 are the primary studies available to evaluate cancer
19 hazard in humans along with genotoxicity assays. And
20 as I will describe, these studies are evaluated in the
21 context of our 2005 Cancer Guidelines.

22 In terms of study quality, study
23 quality is determined using EPA's Test Guidelines,
24 Studies 4200 and 4300. In these studies, pesticides

1 are typically administered the oral route. The test
2 article, the pesticide is administered via the feed
3 for 18 to 24 months in mice and 24 months in rats,
4 typically with groups for interim sacrifice and a
5 minimum of 50 animals per sex, per dose are used.

6 The highest dose level should elicit
7 signs of toxicity without altering the normal lifespan
8 of the animal due to effects other than tumors or
9 without inducing inappropriate toxicokinetics, which
10 I'll discuss later on. Also, the high dose need not
11 exceed 1000 mg or kg per day, which I will refer to
12 throughout my talk as the limit dose.

13 In terms of identification of the
14 studies, using a systematic review, 20 rodent studies
15 were evaluated. Five of those studies were deemed
16 inadequate. Of the 15 remaining acceptable studies, 9
17 rat studies were identified and 6 mouse studies were
18 identified.

19 The acceptable studies had a strong
20 adherence to our guidelines described in the previous
21 slide. Once again, these studies and this data were
22 evaluated using our 2005 Cancer Guidelines. These
23 five studies had numerous inadequacies which are

1 listed here, and which led to our not being able to
2 use them in our analyses.

3 In terms of interpretation of the data,
4 several factors are considered when interpreting
5 results which are described in our 2005 Guidelines for
6 Carcinogen Risk Assessment. Keep in mind that the
7 guidelines are not designed to be a black and white
8 checkbox approach, but more of a weight of evidence
9 approach, pulling together multiple lines of evidence.
10 And the evaluation of data includes consideration of
11 both biological and statistical significance.

12 In terms of dose selection, doses
13 tested should be selected based upon relevant
14 toxicological information. The highest level should
15 illicit signs of toxicity without substantially
16 altering the normal lifespan of the animal due to
17 effects other than tumors, also without inducing
18 inappropriate toxicokinetics or overwhelming
19 absorption or detoxification mechanisms.

20 It is highly recommended that the
21 highest dose not exceed 1000 mg per kg per day and the
22 doses should provide relevant dose-response data for
23 human hazard for human health risk assessment.

1 And it's important to note here that
2 one of the challenges with glyphosate is that it's
3 understood to be a very non-toxic chemical, setting
4 the maximum or the highest dose in many of these
5 studies has been a challenge.

6 Statistical analyses help us determine
7 whether exposure to a test agent is associated with an
8 increase in tumor development rather than due to
9 chance alone, and they should be performed for each
10 tumor type separately. Given that the statistical
11 evaluations were performed at different times for each
12 study, all statistical analyses were reanalyzed for
13 the current evaluation and they were conducted by our
14 statistician here in HED, James Nguyen.

15 Our two key tests are the Cochran-
16 Armitage Test for trend and the Fisher Exact Test for
17 pairwise significance amongst the dose groups. The
18 2005 EPA Guidelines for Carcinogenic Risk Assessment
19 state that considerations of multiple comparisons
20 should also be taken into account. Utilizing multiple
21 comparison methods reduces the probability of a type 1
22 error, what many may call a false positive. In the
23 current evaluation, a Sidak correction method was used
24 to adjust for multiple comparisons.

1 In terms of historical control data,
2 the Guidelines state that treatment related effects
3 should be compared to the concurrent control first and
4 foremost. Additional insight however can come from
5 historical control data. If historical control data
6 can add to insight, particularly by identifying
7 uncommon tumor types, or a high spontaneous incidence
8 of a tumor in an animal strain, generally,
9 statistically increased incidences of tumors in the
10 treated groups should not be discarded solely because
11 they are in the historical control range or because
12 the incidences in the concurrent control are somewhat
13 lower than average.

14 On the other hand, when concurrent
15 controls are unusually low, compared to previously
16 reported rates for a tumor type, these are noted and
17 considered as part of the weight of evidence.

18 Carcinogenicity Rodent studies are
19 designed to also examine preneoplastic lesions and
20 other indications of chronic toxicity that may provide
21 evidence of treatment related effects and insights
22 into the way the test agent produces tumors. Presence
23 or lack of supporting preneoplastic or other related
24 non-neoplastic changes are noted in the current

1 evaluation of each study and considered in the weight
2 of evidence. And these are additional considerations
3 and they strengthen or lessen the significance of
4 potential tumor findings.

5 That concludes my introduction. And
6 I'm now going to walk you through the data sets in
7 both rats and mice using the just described weight of
8 evidence from our 2005 Cancer Guidelines.

9 This table depicts the nine studies
10 that were analyzed, the nine rat studies analyzed.
11 The doses used in those studies are depicted in the
12 center column, the next column over to the right are
13 the multiple strains used and the tumors identified
14 for further analysis are in the far-right column, and
15 I'm going to walk specifically through those studies
16 where the Xs are located.

17 Each of the datasets I'm going to show
18 you are going to utilize this format. The doses are
19 listed followed by the incidences and the
20 corresponding percentages. Each of the dose groups
21 except for the control results of the pairwise
22 comparisons are presented as raw p-values followed by
23 Sidak p-values, which account for multiple

1 comparisons. In the control column, results of the
2 trend test are presented.

3 In the study, Lankas, testicular tumors
4 were observed. They tested up to 31 mg per kg per
5 day. And there was a statistically significant trend
6 with the p-value of .009 though there was no monotonic
7 dose response. There was also a pairwise significance
8 for the raw and adjusted p-values and for multiple
9 comparisons. Just one quick note, the double star
10 designates p-value of less than .01 while the single
11 star represents a p-value of less than .05.

12 There was an unusually low incidence in
13 the concurrent controls. There were no corroborating
14 histopathological lesions, such as interstitial cell
15 hyperplasia, which we'd expect to see, and taking
16 these lines of evidence together these tumors were not
17 considered treatment related.

18 In Stout and Ruecker, numerous tumor
19 types were identified for analysis. I'll start with
20 the pancreatic tumors in males first. In this study,
21 as you can see, they tested up close to the limit
22 dose, going as high as 940 mg per kg per day. There
23 was no trend for any of the groups listed. Pairwise
24 significances were observed at the low and high doses,

1 but there was no monotonic dose response for adenomas.
2 There was no significance when adjusted for multiple
3 comparisons.

4 In addition, there was an unusually low
5 incidence in the concurrent controls. There was no
6 progression of adenomas to carcinomas, and there were
7 no corroborating preneoplastic lesions. And taking
8 these lines of evidence together, these tumors were
9 considered not treatment related.

10 In the same study, hepatocellular
11 tumors were identified for further analysis in males.
12 Once again, they tested close to the limit dose and
13 there was a statistically significant trend only for
14 adenomas with a p-value of .022. But there was no
15 pairwise significance of any kind. There was no
16 progression of adenomas to carcinomas. And there were
17 also no corroborating histopathological lesions. And
18 taking these lines of evidence together, these tumors
19 were considered not treatment related.

20 C-Cell tumors were identified for
21 further analysis in this study as well in both sexes.
22 I'll start with the males first. For males, again
23 they tested up close to the limit dose. And there was
24 no trend or pairwise significance for any tumor type.

1 In females, C-Cell tumors were also
2 identified for further analysis. And as you can see
3 they tested just above the limit dose. For adenomas,
4 there was a trend with a p-value of .04 but no
5 pairwise significance at any of the doses tested. For
6 the combined tumors, there was a trend with a p-value
7 of .042, but no pairwise significance at any of the
8 doses tested. There was no progression from adenomas
9 to carcinomas. The non-neoplastic lesions showed no
10 monotonic dose response for incidences or severity.
11 And taking these lines of evidence together it was
12 concluded that these tumors were not treatment
13 related.

14 In Brammer, hepatocellular tumors were
15 identified for further analysis. They tested just
16 above the limit dose. A statistically significant
17 trend with a p-value of .008 was observed and a
18 pairwise significance at the high dose was observed
19 for the unadjusted but not for the multiple
20 comparisons. It was noted that there was a higher
21 survival rate at the highest dose and there were no
22 corroborating histopathological lesions. Taking these
23 lines of evidence together, these tumors were not
24 considered treatment related.

1 In Wood, mammary gland tumors were
2 identified for further analysis. And as you see, they
3 tested just above the limit dose. A statistically
4 significant trend for adenocarcinomas with a p-value
5 of .042 was observed, but there was no monotonic dose
6 response. There was no pairwise significance for any
7 of the dose groups either. For the combined
8 incidences, there was a statistically significant
9 trend with a p-value of .007, but there was no
10 monotonic dose response.

11 There was a pairwise significance at
12 the high doses for the unadjusted p-values but not for
13 multiple comparisons. There were also no
14 histopathological observations and taking these lines
15 of evidence together these tumors were concluded to be
16 not treatment related.

17 In summary of the rat data, nine
18 studies were evaluated in the rat. In five out of the
19 nine studies, no tumors were identified for detailed
20 evaluation. In the remaining studies, statistically
21 significant trends were observed for tumor incidences
22 in the testes, the pancreas, the liver, the thyroid or
23 the mammary gland. However, none of these tumors were
24 considered treatment related based on the weight of

1 evidence for each study. In general, many of the
2 tumors lacked monotonic dose response. Tumor findings
3 were typically seen at or above 1000 mg per kg per
4 day, and lacks statistical significance when adjusting
5 for multiple comparisons.

6 In addition, there was a lack of
7 support for biological significance in the limited
8 cases we noted unusually low incidences in the
9 concurrent controls.

10 I will now walk you through the mouse
11 data. And similar to the rat, the studies analyzed
12 are listed out on this table, listing out the doses
13 and the various strains and the studies that were
14 identified for further analysis are in the far-right
15 column and I'll walk you through those.

16 I will start with Knezevich and Hogan.
17 In Knezevich and Hogan, renal tumors were identified
18 for further analysis. They tested up to five times
19 the limit dose, even the mid dose was approaching 1000
20 mg per kg per day. There was no trend or pairwise
21 significance for any tumor type.

22 It's important to note that these renal
23 tumors are considered a rare tumor type and however,
24 again, there was no statistical significant trend for

1 pairwise comparisons. Furthermore, there were no
2 corroborating histopathological lesions. And taking
3 these lines of evidence together, it was concluded
4 that these tumors were not treatment related.

5 In Atkinson, hemangiomas were
6 identified for further analysis. They tested up to
7 the limit dose. There was a statistically significant
8 trend with a p-value of .003, but no pairwise
9 significance of any kind. It's worth noting that
10 hemangiomas are considered to be a commonly seen tumor
11 type in mice. And there was only an increased
12 incidence at the highest dose tested. And taking
13 these lines of evidence together, it was concluded
14 that these tumors were not treatment related.

15 In Wood, lung tumors were identified
16 for further analysis. They tested close to the limit
17 dose. The statistically significant trend for lung
18 adenocarcinomas with a p-value of .028 was observed
19 but there was no pairwise significance of any kind
20 observed. Furthermore, there was no progression of
21 adenomas to carcinomas. There were no preneoplastic
22 related non-neoplastic lesions. And taking these
23 lines of evidence together, it was concluded that
24 these tumors were not treatment related.

1 Also in Wood, malignant lymphomas were
2 identified for further analysis. And they tested up
3 to close to the limit dose once again. There was a
4 statistically significant trend with a p-value of
5 .007. And pairwise significance at the highest dose
6 tested for the unadjusted p-values was observed but
7 not for the multiple comparisons. Also, the
8 incidences in control were low. Taking these lines of
9 evidence together, these tumors were considered not
10 treatment related.

11 In Sugimoto, hemangiomas were
12 identified for further analysis. They tested up to
13 four times the limit dose. And once again, the mid
14 dose was close to the limit dose as well. A
15 statistically significant trend with a p-value of .002
16 was observed. Also for the raw unadjusted p-values of
17 the high dose but not for adjustment for multiple
18 comparisons. And taking these lines of evidence
19 together, these tumors were considered not treatment
20 related.

21 In summary in the mouse, six studies
22 were evaluated. No tumors were identified for
23 detailed evaluation in two of the six mouse
24 carcinogenicity studies. In the remaining four

1 studies, three observed a statistically significant
2 trend in tumor incidences in hemangiosarcomas, lung
3 adenomas, malignant lymphomas or hemangiomas.
4 However, none of these tumors were considered
5 treatment related based on the weight of evidence of
6 each study. In general, many of the tumors lacked a
7 monotonic dose response. Tumor findings were
8 typically seen only at or above 1000 mg per kg per day
9 and lacked statistical significance when adjusting for
10 multiple comparisons.

11 In addition, there was a lack of
12 support for biological significance and in limited
13 cases we noted unusually low incidences in the
14 concurrent controls.

15 I'm going to switch gears here because
16 the 2005 Cancer Guidelines permit the use of other key
17 data that may be appropriated into this analysis.

18 In our current evaluation, we had over
19 20 studies that helped inform the absorption,
20 distribution and metabolism and excretion profile for
21 glyphosate. The ADME data information can aid in
22 understanding a chemical's mechanism of toxicity
23 and/or potential for accumulation and
24 biotransformation. Overt toxicity or qualitatively-

1 altered toxicokinetics due to excessively high doses
2 may result in tumor effects that are secondary to the
3 toxicity rather than directly attributable to the
4 agent.

5 In recent years, EPA and other
6 international agencies have used toxicokinetic data to
7 inform dose, selection and avoid nonlinearity. For
8 example, some of the test guidelines that are out
9 there are listed on this slide. These measurements
10 are highly weighted in other groups besides EPA.

11 As mentioned, we had over 20 studies
12 available. And based upon those studies we found that
13 from 5 to 400 mg per kg, glyphosate was not well
14 absorbed from the GI tract. On average, it was
15 absorbed 20 to 30 percent. The maximum amount in any
16 study was 40 percent. Glyphosate was mostly
17 eliminated through the feces. It was clear from the
18 body, within one day, it did not accumulate in any
19 tissue. Also apparent, glyphosate was not
20 significantly metabolized.

21 There were conflicting results
22 regarding linearity of absorption. EPA and OECD
23 guideline, ADME studies, are designed for a different
24 purpose and do not provide the information needed to

1 adequately determine whether linear kinetics are still
2 occurring at the high doses for glyphosate. These
3 studies are often limited to one or two doses and do
4 not include time course data. A well-conducted
5 pharmacokinetic study, testing multiple doses, is
6 needed to conclusively make this determination.

7 Earlier I walked through the weight of
8 evidence for each study and we concluded that none of
9 the tumor findings were treatment related. Looking
10 across all the animal bioassays, we also noted that
11 none of the tumor types were reproduced, even in the
12 same strain at similar or higher doses.

13 In today's introduction, Monique
14 discussed that our high-end estimates of exposure to
15 glyphosate -- she discussed our high-end estimates of
16 glyphosate based upon the registered use patterns.
17 Putting these into the context of the animal
18 bioassays, we see that they are approximately 140 to
19 2000-fold lower than where we are seeing increased
20 tumor incidences. Thus, even if tumor findings at the
21 highest doses tested were considered treatment
22 related, findings at these doses are not considered
23 relevant for human health risk assessment.

1 In conclusion, a total of 15 rodent
2 carcinogenicity studies were considered adequate to
3 inform the human carcinogenic potential of glyphosate.
4 Nine of those studies were using the rats, six were
5 using the mouse. And based upon a weight of evidence
6 none of the tumor findings were considered treatment
7 related. The tumor findings were not reproduced
8 including studies in the same animal strain at similar
9 or higher doses. And even if the high dose tumors
10 were considered treatment related, findings at these
11 doses are not considered relevant for human health
12 risk assessment.

13 And that concludes my part of the talk.

14 **DR. JAMES MCMANAMAN:** Thank you Dr.
15 Dunbar. This is now open for questions and I'll start
16 with a couple of questions. In the studies that you
17 evaluated, was there any consideration given to the
18 appropriateness of the strain of rodent for the type
19 of test that was being conducted? It's well known, at
20 least for mice, that certain tumors develop better in
21 some strains than in others. I just wondered if that
22 had been considered?

23 **DR. ANWAR DUNBAR:** No, we did not
24 consider a specific strain.

1 DR. JAMES MCMANAMAN: Okay. The second
2 question.

3 DR. GREG ACKERMAN: They are all
4 performed in strains that we accepted according to our
5 guidelines.

6 DR. JAMES MCMANAMAN: Those strains are
7 established to develop these kinds of tumors in other
8 models?

9 DR. GREG ACKERMAN: Right. I mean,
10 those strains are acceptable for us for conducting
11 carcinogenicity bioassays.

12 DR. JAMES MCMANAMAN: Okay. In your
13 evaluation of the data did you consider, not tumor
14 initiation, but did you consider the potential effects
15 of glyphosate on tumor promotion? Did any of the
16 studies evaluate the effects of glyphosate on
17 promoting tumors initiated by another agent?

18 DR. ANWAR DUNBAR: So are you asking
19 did we look for a precursor molecular event such as --

20 DR. JAMES MCMANAMAN: No, in the female
21 for instance, there are lots of ways in inducing a
22 tumor in animal model. I'm asking did you consider,
23 or did you run across any data addressing the role of

1 glyphosate in promoting tumors initiated by another
2 agent?

3 **DR. ANWAR DUNBAR:** No.

4 **DR. JAMES MCMANAMAN:** Okay. I would
5 think that that might be a consideration in terms of -
6 - because an agent may not be a tumor initiator, but
7 it may be a tumor promoter so it might be an issue
8 that should be considered at some point.

9 **DR. ANWAR DUNBAR:** No, we specifically
10 focused on --

11 **DR. JAMES MCMANAMAN:** Okay.

12 **DR. ANWAR DUNBAR:** -- glyphosate.

13 **DR. JAMES MCMANAMAN:** All right.

14 Yes.

15 **DR. DANIEL ZELTERMAN:** Dan Zelterman.
16 If you could go to slide number 15 on the Lankas, my
17 question concerns the multiple comparisons. In this
18 example, there were animals exposed to four different
19 doses and there's the raw p-values using the Fisher
20 Exact Test and the Sidak p-value adjustment for
21 multiple comparisons. This would be back a little
22 more, number 15. Yeah. This is the simplest example.
23 The Sidak correction requires that the p-values be
24 independent. And in this example, notice that each of

1 the doses above the control is compared to the same
2 control. All of the tests are dependent in some kind
3 of funny way because they're all compared to the same
4 control.

5 But I have a much bigger problem than
6 that. If you look at the Lankas paper, they highlight
7 the testicular cancers, but they also examined dozens
8 of other cancers as well of which this is the most
9 extreme. When you correct for multiple comparisons,
10 how many comparisons were actually done? Not these
11 four, but I will guess, well over a hundred. Many of
12 them being dependent on each other.

13 **DR. MONIQUE PERRON:** Yes, I'm
14 wondering, is your question are the p-values
15 representing the full study itself or just this tumor
16 type? Is that your question?

17 **DR. DANIEL ZELTERMAN:** My question is
18 mostly pointing out that these p-values don't
19 represent what you think they represent. The
20 correction is wrong because first of all the tests are
21 dependent. And second of all, of all the cancers that
22 were tested, you need to correct for all of the tests.
23 That's what they mean by multiple comparisons, that a

1 great many hypothesis tests were performed before we
2 got to this table.

3 **DR. MONIQUE PERRON:** I understand your
4 concern. I would say that in terms of statistically
5 analyzed, this was the only analysis done though.
6 That's why only the three hypotheses being tested
7 simultaneously here are being adjusted. That's what
8 the p-values are representing, are for that tumor
9 type. This was the only tumor type analyzed
10 statistically. There were no statistical analyses
11 performed by us on any of the other tumor types in the
12 study.

13 **DR. DAN ZELTERMAN:** No, but Lankas did.
14 And then you got the introduction --

15 **DR. MONIQUE PERRON:** Yes, Lankas did,
16 but what we are showing here is our analysis of the
17 data -- I guess I can only clarify what we're showing.

18 **DR. DAN ZELTERMAN:** Thank you.

19 **DR. JAMES MCMANAMAN:** Dr. Crump.

20 **DR. KENNY CRUMP:** Kenny Crump. I have
21 two or three questions. You said these were the
22 tumors identified for further analysis. I didn't see
23 anywhere in the document where that was defined. How
24 did you identify tumors for further analysis?

1 **DR. ANWAR DUNBAR:** Okay, well some of
2 these tumor types were previously analyzed several
3 times, years ago. And then for the studies that we
4 got in from the systematic review we did an exhaustive
5 -- I wouldn't say an exhaustive -- but a search of the
6 pathology reports to see if there was anything in
7 there that warranted a further look.

8 **DR. KENNY CRUMP:** What was your
9 criteria for deciding if it required further analysis?

10 **DR. ANWAR DUNBAR:** Looking for
11 potential dose response.

12 **DR. KENNY CRUMP:** Okay.

13 **DR. ANWAR DUNBAR:** Also statistical
14 significance where there was a potential dose
15 response.

16 **DR. KENNY CRUMP:** Yeah. Well I wasn't
17 sure how you decided -- I kind of -- I didn't look
18 clearly for the data, but I did identify a couple of
19 cases where there were things that were significant at
20 .05 that were not analyzed. And your point about
21 monotone dose responses, I've never seen that used as
22 a criteria before. Maybe I've missed it, but I didn't
23 see it in the EPA Guidelines and I sort of wondered

1 where that criteria came from and how much it was
2 weighted.

3 **DR. GREGORY ACKERMAN:** It was just used
4 as one of the lines of evidence, not the sole line of
5 evidence for those. We expect in increasing dose that
6 you would see an increase of instances of the tumor.
7 That when we did see that, we used it as one line of
8 evidence to support or not support the particular
9 tumor finding.

10 **DR. KENNY CRUMP:** But when you were
11 deciding which tumors to analyze, did you ever rule
12 any out for analyzing because the dose response wasn't
13 monotonic?

14 **DR. MONIQUE PERRON:** I can't speak
15 towards all of the studies, but I'm sure there were
16 instances where you may have seen quite a bouncing
17 around of the tumors, especially when they're common,
18 where we probably would not have analyzed them due to
19 that instance. I mean it really -- in many ways, it
20 is a professional judgement at that time when we're
21 going through the data.

22 There are a lot of different anatomical
23 sites looked at in these studies, and we do go through
24 a thorough evaluation of all of the individual data

1 during our evaluations to determine which ones we
2 believe need to get further statistical analyses
3 beyond what we're seeing in the individual raw data.

4 **DR. KENNY CRUMP:** Did you ever consider
5 doing some sort of analysis to -- assume you do have a
6 monotonic dose response, look at them, how frequently
7 do you get a non-monotonic-observed dose response?

8 **DR. MONIQUE PERRON:** I don't think -- no
9 we haven't done that analysis.

10 **DR. KENNY CRUMP:** Okay. One other
11 question, none of these analyses are controlled for
12 longevity. Did you consider doing like a Poly-3 test
13 like NTP does to correct for longevity; or did you
14 consider that that might not be necessary in this
15 case, for example?

16 **DR. MONIQUE PERRON:** In terms of the
17 studies available here, we did not see any differences
18 in survival. We do do a different analysis when we
19 see survival differences. But in the case of
20 glyphosate, all of these studies did not have that
21 difference so we did not think it was appropriate to
22 do any adjustment. Some people have actually looked
23 at this data using the Poly-3 which really, if you do
24 that for a 24-month study, it's not actually doing

1 anything in the case of glyphosate because there's no
2 survival differences.

3 In terms of the mouse studies that were
4 18 months, you're basically extrapolating out to 24
5 months if you do that adjustment. But you're also
6 assuming that all of those tumor free animals have
7 survived to 24 months and there are some underlying
8 faults in doing that. In the case of the studies that
9 we had available, we did not think it was appropriate
10 to do that adjustment.

11 **DR. KENNY CRUMP:** Okay. Thank you very
12 much.

13 **DR. JAMES MCMANAMAN:** That was Dr.
14 Perron. Dr. Green?

15 **DR. LAURA GREEN:** Thank you. A number
16 of us have noticed that the document maybe does itself
17 a disservice by saying that it follows the Carcinogen
18 Assessment Guidelines but in fact it doesn't. And
19 it's a little confusing to us.

20 We wonder why, for example, you picked
21 this so called limiting dose of a gram per kilogram
22 when the Guidelines A say that the tester need not
23 exceed that; but certainly, the Guidelines do not say

1 the tester should not exceed that. At a minimum, we
2 suggest you maybe clean up that language.

3 Number 2; as you correctly mention,
4 since glyphosate is so nontoxic, you have an inherent
5 difficulty finding a maximally tolerated dose. And
6 testing at a maximally tolerated dose under a gram per
7 kilo, when in fact, this stuff is really nontoxic. We
8 don't really understand why you are alluding to
9 guidelines you're not really using. I mean it's okay
10 that you're not using them, but you shouldn't have it
11 both ways, it seems to us. Are we missing something
12 here?

13 **DR. ANNA LOWIT:** I guess maybe a little
14 bit of clarification is may be what's the question is
15 there. I guess we would disagree we're not following
16 the Cancer Guidelines. I think we've actually tried
17 very hard to keep strictly to the guidelines. And if
18 you look at the language in the paper, we've actually
19 extracted sections from the guidelines to make sure
20 that we didn't misstate certain areas. I'd like to
21 get some clarification where you think that we have
22 not, and where that language may be in your view,
23 inappropriate.

1 **DR. LAURA GREEN:** Yeah, it's very
2 simple. As I tried to say, your guidelines say when a
3 bioassay's being conducted, the experimenter need not
4 test at doses greater than a gram per kilo. But it
5 doesn't say they don't have -- it doesn't say -- I'm
6 sorry. It does not limit the tester to a gram per
7 kilo. It limits the tester to a, I think it's 7
8 percent in the diet, is that right? Five percent in
9 the diet. Which is a lot more. And it specifically
10 says, as you know, and is important, when at all
11 possible the highest dose should be maximally
12 tolerated. And that's not the case here.

13 And even in your slide show -- early in
14 your slides you said the dose "need not" exceed a gram
15 per kilo, and then later in your slides, it says the
16 dose "should not" exceed a gram per kilo. Well, those
17 are two very different statements and our reading --
18 my reading at least, and I speak for several of us --
19 of the Cancer Guidelines is pretty clear on that and
20 you seem to say both things at once and it's a little
21 odd to us.

22 **DR. ANNA LOWIT:** So if there's some --

23 **DR. JAMES MCMANAMAN:** Let me interject
24 here. Are you asking what is the rationale for not

1 exceeding the mg per kg since they didn't do that or
2 are you asking why they're not exceeding that?

3 **DR. LAURA GREEN:** No. I'm saying very
4 simply, obviously, a lot of these bioassays have
5 three, four grams per kilo as the high dose. And
6 obviously, that's the case because those, in fact, are
7 within the maximally tolerated dose range.

8 **DR. JAMES MCMANAMAN:** You're asking why
9 they didn't go higher.

10 **DR. LAURA GREEN:** No.

11 **DR. JAMES MCMANAMAN:** Okay.

12 **DR. LAURA GREEN:** I'm saying that in
13 your assessment, your draft document says we are
14 ignoring doses above a gram per kilo because the
15 Carcinogen Assessment guidance of 2005 says to do
16 that. And we don't see that in the guidance. It's
17 pretty simple.

18 **DR. ANNA LOWIT:** I'm confident that our
19 document doesn't say ignore doses above 1000.

20 **DR. LAURA GREEN:** It does.

21 **DR. ANNA LOWIT:** I think we have
22 accurately pointed out throughout the document and
23 also the presentation where those doses come close to
24 or exceed. But as you can even tell from each of the

1 slides, we have not ignored any data available to us
2 at any dose.

3 I would ask, again, if there's specific
4 areas of the document that have language that you
5 don't view are in accordance with the guidelines. We
6 would appreciate that feedback in your comments. But
7 to be clear, we have not ignored or eliminated any
8 study at any dose.

9 Our view is that those results
10 approaching or exceeding 1000 milligrams per kilogram
11 per day have questionable relevance as it relates to
12 risk assessment. And when we get later on, to the
13 evaluation of the cancer category, that evaluation of
14 doses and the context of those doses become important
15 as we think about the different descriptors. I'm
16 using the wrong word.

17 **DR. JAMES MCMANAMAN:** Okay. Sonya?

18 **DR. SONYA SOBRIAN:** Sobrian. I want to
19 ask you about your slide 40 which is your ADME profile
20 which is new data. It wasn't in the original white
21 paper. It's nice to see. I have two questions. Is
22 there any data on absorption over 400 milligrams per
23 kilogram? A lot of the studies went above that.
24 Slide 40, sorry.

1 DR. ANWAR DUNBAR: Yeah. Yes, there is
2 data above 500.

3 DR. SONYA SOBRIAN: Okay. Now given
4 your last sentence which says it's not linear. When
5 you don't know what the pharmacokinetics are, and
6 you're suggesting that they may or may not be linear,
7 what does that do to your eliminating findings when
8 you don't have a significant trend?

9 DR. ANWAR DUNBAR: Our position is that
10 it's not clear. There's conflicting data. It's not
11 clear what's happening at those higher doses.

12 DR. SONYA SOBRIAN: But then I still
13 ask you, given that it's not clear, how probable is it
14 that you can then stand by your suggestion that when
15 you don't see a linear trend in a dose response, that
16 it's not a significant effect?

17 DR. ANNA LOWIT: I feel like maybe
18 you're mixing the issues. The issue with the
19 absorption, the ADME profile, if we could go way back
20 a few slides to the comments, the bullets that Anwar
21 had from the OECD Guidance and other guidance from
22 other -- that one. It's only one slide, thank God.

23 That the EU and the OECD have guidance
24 that suggest that if dosing is in the nonlinear range

1 that that would be a way to define a toxicokinetic
2 MTD, whereas we've already concurred that from a
3 toxicological point of view, since it's considered
4 fairly non-toxic, you can't define an MTD in the
5 classical way based on body weight or clinical signs.

6 That if we were able to understand the
7 absorption kinetics and the pharmacokinetic profile,
8 that we could better understand if we've actually
9 exceeded a pharmacokinetic MTD as it relates to the
10 dosing at these really high dose studies. And that's
11 the point on Anwar's -- the next slide about whether
12 or not it's linear kinetics or non-linear kinetics.

13 **DR. SONYA SOBRIAN:** Okay. There were
14 some studies in the -- I think it was in the rat
15 studies -- where you see changes from the control at
16 the low and the medium doses, which are at or near the
17 1000 milligrams per kilograms, but you don't at the
18 high dose. And so you reject a possible linear trend
19 but now you're telling -- now this says that you don't
20 have -- you're not sure about the absorption at that
21 high dose or it may be completely -- the
22 pharmacokinetics may be different. It's just a
23 suggestion that you're always looking for linear trend

1 and that is in your guidelines. It's right before it
2 says 50 rats per sex. It's in your guidelines.

3 But the suggestion is should you maybe
4 look at something else and not stick with just
5 something that gives dose response as a linear trend?
6 Because there are other possible trends that you're
7 missing. Especially when you don't have the
8 information about absorption or toxicokinetics at
9 really high doses.

10 I have, if you don't want to answer
11 that one, I have another question. We were asked to
12 determine the adequacy of non-neoplastic findings and
13 preneoplastic findings and they're not defined easily.
14 And given the 4000 pages for one or two studies, it's
15 really hard to know, there's just tons and tons of
16 data. Could you just give us some guidelines on what
17 you were looking for?

18 **DR. CHARLES WOOD:** So this is Charles
19 Wood from EPA Office of Research and Development. So
20 typically, at least for non-mutagenic carcinogens --
21 not always -- but for many outcomes you would want to
22 see some sort of a precursor effect. Whether it be
23 something like hyperplasia, if you have a mitogen, or
24 something like necrosis if you have a cytotoxic agent.

1 And so that's why that appears as one of the factors
2 in the weight of evidence.

3 For example, with the renal tumors, it
4 would be very difficult -- I can't think of a
5 precedent where you would have renal carcinogen for a
6 chemical and not see some sort of preneoplastic or
7 non-neoplastic effect. Does that help answer your
8 question? It could be anything that indicates that
9 there is a target toxicity at that site.

10 **DR. SONYA SOBRIAN:** Because there's so
11 much data in these files that we got, I mean, you can
12 go through pages and pages and you see lots of
13 different things. It would have been nice to have
14 some, you know, examples of what you look -- I look
15 for hyperplasia, but I may have missed some things.
16 And you say that there weren't any in your
17 presentation, that there weren't any preneoplastic --
18 there is for one and it's in my write up, but I don't
19 know what you based your saying you didn't find
20 anything. Because I don't know what you were looking
21 for to begin with. And I think that might have been
22 helpful in your charge, giving us some idea of what we
23 should look for when you're going through 2000 pages
24 of data.

1 **DR. CHARLES WOOD:** So for many
2 different target sites you could have 25 different
3 non-neoplastic changes. It would be completely
4 overwhelming to try to bring in all of that data in a
5 way that was useful or informative.

6 I think the point was that there were
7 no non-neoplastic lesions flagged for the sites, at
8 which these different tumor outcomes were noted, that
9 would suggest that organ as a site for some sort of
10 chemical effect.

11 **DR. SONYA SOBRIAN:** There is one study
12 in which there is, but I have to go through my notes
13 to find it.

14 **DR. JAMES MCMANAMAN:** So I think Dr.
15 Johnson was next.

16 **DR. ERIC JOHNSON:** So I just want you
17 to go back to the slide with Woods on the mouse
18 carcinogenic test. Wood. Right. That's the one. I
19 mean, it seems to me the dose response was fairly
20 contained --

21 **DR. JAMES MCMANAMAN:** Dr. Johnson,
22 could you speak into the microphone?

23 **DR. ERIC JOHNSON:** Yeah. It seems to
24 me that the dose response was fairly consistent, 1 of

1 51, 2 out of 51 and then 5, no, no. That's not the
2 one. There was one that was 5 out of -- oh, that's
3 51, not 5 out of 5. I'm sorry. Still, it's 5 out of
4 51, it's fairly consistent. And now I'm wondering why
5 this study was just ruled out that it's not of any
6 significance.

7 **DR. CHARLES WOOD:** So one of the
8 factors for this particular study was this strain; I
9 don't have it in front of me exactly what it was. If
10 I recall, there was not historical control data
11 provided from the lab that ran this study. But in a
12 review of multiple other studies reporting historical
13 control data, in this particular strain, none of them
14 had a control instance of zero. And so the
15 interpretation was that the control values here were
16 below what you would normally expect in this strain.

17 **DR. LUOPING ZHANG:** Could I add the --
18 just following up study on this one?

19 **DR. JAMES MCMANAMAN:** Okay. So -- Dr.
20 Zhang?

21 **DR. LUOPING ZHANG:** Okay. Luoping
22 Zhang. Okay. Just is there any p trend test passed
23 down from this Wood study?

24 **DR. CHARLES WOOD:** Was it exact --

1 DR. LUOPING ZHANG: Trend test, trend
2 test.

3 DR. CHARLES WOOD: The trend test was
4 it exact or approximate, is that the question?

5 DR. LUOPING ZHANG: Mm-hmm.

6 DR. CHARLES WOOD: It's my
7 understanding that all of these were exact.

8 MR. BAYAZID SARKER: Yeah. This is
9 Bayazid Sarker from EPA. These are all exact tests.
10 The Trend test and the Fisher Exact are the exact one-
11 sided test.

12 DR. LUOPING ZHANG: But you show here
13 it is only for each dose compared with controls. Here
14 you didn't show any trend test.

15 MR. BAYAZID SARKER: Yeah, so the raw
16 p-value, if you look at .007 that was the trend test.

17 DR. LUOPING ZHANG: Yeah, that's my
18 question. Okay. See, that's not very clear. That's
19 my guess.

20 MR. BAYAZID SARKER: Okay, okay. Yeah.
21 Sorry.

22 DR. JAMES MCMANAMAN: Okay.

23 DR. ERIC JOHNSON: So back to my
24 question.

1 DR. JAMES MCMANAMAN: Okay. Sorry.

2 Lost you. All right. Back to your question.

3 DR. ERIC JOHNSON: Yeah. I still just
4 don't understand the criteria in which you're basing
5 your results on historical controls; when on this
6 particular study we have enough evidence. Even if the
7 tumor incidence is lower than expected, zero to five,
8 but it's consistent for all the other doses. At least
9 that's consistency within this study.

10 I would trust that more. That whatever
11 strain of mouse you used, it's consistent for this
12 experiment, rather than relying on historical data
13 which might be another different strain of mice or
14 whatever.

15 DR. CHARLES WOOD: I think in some
16 cases like this example, the negative evidence from
17 other studies in the same strain, in some cases, at
18 higher doses was also an important factor. If you
19 weren't sure about this study and you redid it and did
20 not find this, you know, would that influence your
21 interpretation?

22 DR. ERIC JOHNSON: Well, I would look
23 for a reason why. I mean, why should the incidences
24 be consistently low in this experiment? Are there

1 other deficiencies within this study, that's why
2 incidence is low consistently over all the doses?
3 Even though we have a dose response. I mean, if the
4 issue's just the baseline -- that the incidences --
5 the outcome background levels are lower than expected,
6 I mean, it's still a control within its own experiment
7 that is consistent across all.

8 It's telling you something specific
9 about this particular experiment. And to compare with
10 other experiments you need to just see other
11 conditions in which these experiments were conducted
12 under.

13 **DR. MONIQUE PERRON:** This is Monique
14 Perron. I just wanted to say that remember that we
15 are doing a weight of evidence evaluation of each of
16 these studies. It's not necessarily just one
17 statistical result that then trumps everything else
18 and then we go down that path, we're trying to look at
19 all of the lines of evidence and integrate them
20 together for that study. And one of the lines of
21 evidence that we had available was historical control
22 data. And in this instance, especially when it was
23 zero, I see what you mean, our statistical analyses

1 are always done with the concurrent controls. I see
2 your point there.

3 But also, recognizing that there is
4 additional information available that could explain
5 why we're seeing a pairwise significance in the raw p-
6 value. But again, we didn't see one in the multiple
7 comparisons and that was also part of our weight of
8 evidence. There were multiple lines of evidence
9 integrated and that was one of them. But the
10 concurrent controls were considered as part of the
11 statistical analyses as well. They were not just
12 disregarded; it was just that they were all considered
13 together at once.

14 **DR. ERIC JOHNSON:** I just wish that a
15 small note could be made that these types of
16 observations were seen, although overall, we did not
17 think it was. Because the message I came away with
18 was that all of these animal experiments were
19 negative. And that's the message I got.

20 **DR. JAMES MCMANAMAN:** Just a minute,
21 Dr. Green. Dr. Ramesh?

22 **DR. ARAMANDLA RAMESH:** This is Ramesh.
23 One reason for those, some studies the lack of
24 difference could be due to the fact when doses are 5

1 to 400 milligrams per kilogram only resulted in 20 to
2 30 percent absorption of glyphosate. At 1000
3 milligram per kilogram it would not have made a big
4 deal of difference anyway. And in that context, EPA
5 may want to revise their language which it says that
6 the high dose should not compromise the outcome of the
7 study by inducing inappropriate characteristics.

8 Already at such a high dose the
9 metabolic machinery is saturated. It would not make
10 any big difference. There is no difference, but at
11 1000, at 2000 or 3000, because at such a high dose the
12 metabolism is saturated, we might see a little bit
13 increase in tumors, but not higher than the background
14 noise.

15 **DR. JAMES MCMANAMAN:** Thank you. We
16 encourage the panel to ask questions, that was a very
17 important point. But can you -- I feel like a judge -
18 - can you ask that in a question.

19 **DR. ARAMANDLA RAMESH:** Sorry. We will
20 incorporate it our charge responses.

21 **DR. JAMES MCMANAMAN:** Dr. Green, a
22 question.

23 **DR. LAURA GREEN:** Okay. I'll phrase it
24 as a question. First, is there a reason you did not

1 show the female mouse data for malignant lymphoma for
2 this study? This is only the male response.

3 **DR. MONIQUE PERRON:** Correct. For each
4 of the types of tumors identified for evaluation, we
5 only presented the data if they were flagged for
6 analysis. If you did not see any data for the other
7 sex, then that was because we didn't flag that data
8 for analysis.

9 **DR. LAURA GREEN:** So not to state the
10 obvious, and try to ask it as a question, would it not
11 be helpful for those of us struggling with whether
12 these are bona fide results, to see whether the same
13 strain but the opposite sex -- if we're still allowed
14 to say opposite sex -- whether the results are
15 coherent or not? Would that not be a helpful thing?

16 **DR. MONIQUE PERRON:** We have the data
17 available. We can show that data as well, and to show
18 that you would hopefully come to the same conclusion
19 as us that it was only seen in one sex in that study,
20 yes.

21 **DR. JAMES MCMANAMAN:** Okay. Can you
22 provide that data then? Is it easily -- Anna's
23 saying, what, wait, wait, wait.

1 **DR. ANNA LOWIT:** So this is Anna Lowit.
2 You have that data and, as been said, you probably
3 have thousands of pages. And in fact, you have our
4 data evaluation records, which is the shorter
5 summaries, and hopefully Steve Knott has helped the
6 members of the panel find those data evaluation
7 records because they would have that. They would have
8 more details on the difference between sexes across
9 these tumor types. Keep in mind that we're limited to
10 a part of the day to give a presentation on, you know,
11 what amounts to an enormous amount of data. We
12 appreciate that you understand that we've shown pieces
13 of a very big picture.

14 **DR. JAMES MCMANAMAN:** Okay. That was
15 Anna Lowit. Dr. Parsons, I think has had her hand up
16 for a while.

17 **DR. BARBARA PARSONS:** I'd like to
18 follow up on Dr. Sobrian's questions about
19 preneoplastic lesions. She asked what ones you were
20 considering. And maybe a clearer way to get at this
21 point would be if you could explain to us how you
22 surveyed the primary documents and reached this
23 conclusion that there are no preneoplastic lesions?
24 What was that process?

1 **DR. CHARLES WOOD:** So again, this is
2 Charles Wood from Research and Development of EPA. I
3 mean, the short answer to the previous question was of
4 which lesions were looked for, it would be any and
5 all. Anything that was flagged as a potential
6 treatment-related response. And that process
7 typically takes place in the conversion of the
8 original pathology report which might be 800 pages
9 long into the data evaluation record. Okay.

10 **DR. BARBARA PARSONS:** So then you
11 really did no primary analysis of any preneoplastic
12 lesions. You went based on the summary reports of
13 what was flagged?

14 **DR. CHARLES WOOD:** The original reports
15 are available if needed, to go back and look at
16 context.

17 **DR. BARBARA PARSONS:** I know. I'm
18 asking what was done.

19 **DR. MONIQUE PERRON:** So this is Monique
20 Perron. Maybe a little bit more clarification about
21 our processes might help. In addition to all of these
22 cancer studies, we also get a whole battery of other
23 studies that I'll go through a similar evaluation
24 where we look at all of the available data in a study

1 report. In a study report we'll have a, you know, a
2 quick summary typically of what they saw in the study.
3 They'll go through all their methods, everything like
4 that. They'll usually summarize the data. But then
5 they also provide to us all of the individual data.

6 When those come in, we go through all
7 of that individual raw data to evaluate whether we
8 think -- and not just for cancer, you know, are we
9 seeing any other adverse effects in the study, whether
10 it's body weight, whether it -- even if it -- you
11 know, anything at all.

12 We want to be very thorough in our
13 evaluation so, as Dr. Wood mentioned, there are
14 histopath reports typically included in that that can
15 -- in the case of carcinogenicity studies are often
16 800 pages. We go through all of that individual data,
17 as well, to try to see if there are any effects being
18 seen. We do try to see where are we seeing adverse
19 effects if any or are there any effects that we need
20 to look at in more detail and discuss.

21 **DR. BARBARA PARSONS:** How do you do
22 that?

23 **DR. MONIQUE PERRON:** In many ways. You
24 look at, you know, do you see an increased incidence?

1 Do you see that incidence increasing across dose? Is
2 it, you know, something that, you know -- are you
3 seeing it in the controls as well as all of the other
4 ones at a similar rate? That type of information.

5 Typically, it's mostly incidence. We
6 also consider severity. Depending on the study and
7 how well they define the severity, we can often
8 determine where there's actually a functional
9 impairment from what they're seeing. We tried to
10 incorporate all of that information and so when those
11 studies come in and toxicologists in our division will
12 then summarize all of that information into a data
13 evaluation record which is what you keep hearing about
14 these DERs. Those are our summary after many, many
15 hours of combing through the data to see if there's
16 anything there that's even worth discussion.

17 Sometimes we even include stuff that we
18 don't think necessarily is going to be adverse, but we
19 want to explain that we saw something and we don't
20 think it's adverse. There is quite a spectrum. We do
21 look across all of the anatomical sites, all of the
22 available data. And in the case of many of these
23 tumors, for instance, with the kidney tumors we look
24 specifically at the kidney data. Did we see any

1 lesions that would corroborate those kidney tumors? I
2 don't know, maybe that helps a little bit more in
3 explaining how we determine that, but it really is a
4 very long and comprehensive evaluation of the
5 available data.

6 **DR. BARBARA PARSONS:** Can I ask one
7 more question? Using this as an example, 0 out of 51
8 animals, what is in the denominator? What animals are
9 in the denominator? And was this the same for all
10 studies?

11 **DR. ANWAR DUNBAR:** So you're asking
12 about the incidences? Those are like the total number
13 of animals per sex for that dose.

14 **DR. BARBARA PARSONS:** So terminal
15 sacrifice and more have been found dead are always?
16 Was that always?

17 And I noticed some of your tables for
18 instance, they said it had a footnote, only animals
19 that survived past 55 weeks. Other tables don't have
20 that. I'm trying to get a sense of how variable this
21 was across studies or was it always the same groups of
22 animals selected and presented?

23 **DR. CHARLES WOOD:** So again, this is
24 Charles Wood, EPA, Research and Development. The

1 standard approach is that for early deaths prior to
2 the occurrence of the first tumor of the type that
3 you're interested in are not included. And that's why
4 you'll see these shifts across groups. After the
5 first tumor of that particular type is diagnosed, at
6 that point all early deaths, whether they be moribund
7 or actual deaths, if there is available sample to be
8 read out by a pathologist, they're included. And
9 that's, I believe, the standard approach taken by EPA
10 and other organizations.

11 **DR. BARBARA PARSONS:** So there were
12 some combined chronic exposure carcinogenicity studies
13 where there were interim sac; were those included in
14 the data that was analyzed, those interim sac?

15 **DR. CHARLES WOOD:** In some cases they
16 could be broken down in different ways. But again, it
17 would go back to whether or not that particular tumor
18 was diagnosed prior to the interim sacrifice. But if
19 you included -- maybe this will help -- if you
20 included all the interim sacrifices in some ways you
21 would dilute your effect if it was a later in life
22 effect. And I don't think that is standard protocol
23 if it comes before the observation of the first tumor.

1 **DR. BARBARA PARSONS:** Okay. I think
2 what I'm hearing you say is that no matter how they
3 reported out the results in the primary document, EPA
4 went back and reanalyzed the data in this consistent
5 way that you just described?

6 **DR. CHARLES WOOD:** For the studies that
7 were submitted to EPA, that had comprehensive data,
8 that allowed that sort of analysis, yes.

9 **DR. BARBARA PARSONS:** Okay. Thank you.

10 **DR. GREGORY ACKERMAN:** And this is Greg
11 Ackerman. Just one clarification. The studies that
12 are combined chronic, there's additional animals added
13 to those studies. There's more than 50, so it's 70 so
14 we wouldn't include -- that denominator would be --
15 typically it's a tumor.

16 **DR. JAMES MCMANAMAN:** Dr. Crump?

17 **DR. KENNY CRUMP:** My first question has
18 been answered, I think along the way. But I do have a
19 -- just brought up another question. I think most of
20 these studies, the denominators are all the total
21 animals in the group. Does that mean that no animal
22 had cancer until the final sacrifice or does it mean
23 you just didn't have the data to do the breakdown you
24 were talking about?

1 **DR. CHARLES WOOD:** Charles Wood. I
2 can't speak to individual study without really looking
3 at the original pathology report, but again,
4 typically, if an animal dies early, and that whatever
5 you're looking for -- and the samples are valid to be
6 read out by a pathologist, then they would be
7 included. So long as it's after the observation of
8 the first tumor type.

9 **DR. KENNY CRUMP:** So we can assume on
10 this study, all the animals that had the tumor were
11 found at the final site because they're all included?

12 **DR. CHARLES WOOD:** You know, just
13 looking at this, yes.

14 **DR. JAMES MCMANAMAN:** Okay. I have a -
15 - Jim McManaman. I have a question. The question
16 relates to the use of the historical data. And Dr.
17 Wood made the statement that all the strains of mice
18 that were included in their evaluation, the historical
19 data were made on the same strain. Is that known for
20 a fact, or is that just an assumption?

21 **DR. CHARLES WOOD:** No. When it was --
22 ideally, the historical control data would come from
23 that particular vendor, or whoever ran the study, the
24 contractor if it be. If those data were not

1 available, at that point, you know, I think in this
2 case, we had to look through the literature, through
3 other databases to try to come up with something to
4 gauge whether or not, you know, 0 out of 51 is
5 reasonable for that particular strain. And of course,
6 there's going to be genetic differences.

7 **DR. JAMES MCMANAMAN:** Right. That was
8 my question. These were all CD1 mice, I think all the
9 studies that you quoted were CD1s. So just to verify
10 that all the animals that were used in the historical
11 data were also CD1 and they had -- okay. Great.

12 **DR. CHARLES WOOD:** Right. We -- right.
13 We didn't go across strains.

14 **DR. JAMES MCMANAMAN:** Okay. Great.
15 Thank you.

16 **DR. LUOPING ZHANG:** Quick question.
17 Besides Wood 2009 study for the lymphoma, were there
18 any other animal studies that also show the lymphoma
19 outcome? My understanding, seem there are two more
20 studies. I just wondered if you only pick up this as
21 an example or that's the only one animal study to show
22 lymphoma results.

23 **DR. MONIQUE PERRON:** So in our
24 evaluation of the data, lymphoma was only flagged for

1 detailed evaluation in this study. We did not look at
2 detail in any of the other studies because it didn't
3 have increased incidences or increasing incidence with
4 increasing dose. It wasn't flagged for evaluation.
5 This type was only seen here and was not seen in any
6 other mouse study including those that were also in
7 the CD1 mice at similar or higher doses.

8 **DR. LUOPING ZHANG:** I see.

9 Something else I read, it seems like
10 for my information there are three animal studies, you
11 know, had lymphoma outcome. But I didn't know the
12 detail so that's why I'm asking if you have seen.

13 **DR. JAMES MCMANAMAN:** That was Dr.
14 Perron. Did we have another question? Yes, Dr.
15 Sheppard?

16 **DR. LIANNE SHEPPARD:** Yeah, I wanted to
17 follow up on the historical control question.
18 Specifically, with respect to Wood, which was done in
19 2009, how appropriate is it to use historical controls
20 that were collected from 1987 up to 2002 for a study
21 that was done in 2009?

22 **DR. CHARLES WOOD:** Charles Wood. I
23 think the standard is you do your best to get within a

1 five to ten-year range. But a lot of time that's
2 simply not available.

3 **DR. SONYA SOBRIAN:** Can I just answer
4 that by saying your guidelines say two to three years
5 either way? Because that's what's in your guidelines.

6 **DR. CHARLES WOOD:** Ideally, yes. I
7 mean, ideally --

8 **DR. SONYA SOBRIAN:** I mean, I think
9 earlier someone said that you had broken some of the
10 rules of your own guidelines, that's one of them that
11 I found too. The question is what do you do with
12 that? And how do you justify doing that?

13 **DR. JAMES MCMANAMAN:** That was Dr.
14 Sobrian.

15 **DR. CHARLES WOOD:** Charles. I would
16 say it weighs into the uncertainty. Especially if you
17 don't have control data from that particular lab.

18 **DR. MONIQUE PERRON:** This is Dr.
19 Perron. Also, just to clarify, 2009 is when the study
20 report is dated, that does not mean that the study was
21 conducted in 2009. It would have been conducted prior
22 to that. Just as a small clarification.

23 **DR. SONYA SOBRIAN:** Well your document,
24 which is what I'm basing it on, says, "conducted in"

1 in doesn't say published in. It says, "conducted in"
2 so that was my interpretation of what conducted meant.

3 **DR. MONIQUE PERRON:** Okay. I apologize
4 for the oversight. No. The study reports that we
5 receive are dated for when the study report comes in.
6 They would be after it had been conducted, all of the
7 data has been analyzed by the registrant and pulled
8 together for the report. So just to clarify on that
9 point. I apologize for the oversight in the paper.

10 **DR. JAMES MCMANAMAN:** That was Dr.
11 Perron and Dr. Sobrian. Dr. Green?

12 **DR. LAURA GREEN:** Yeah, I want to make
13 a couple of practical suggestions, but also note
14 something. I think this panel, although there are
15 many smart people around this table, all of them
16 smarter than I, none of us are a pathologist. Which I
17 think is a significant problem here. Unless I'm
18 missing something. Dr. Ehrich, maybe you are. Are
19 you a pathologist?

20 **DR. MARION EHRICH:** I work with a
21 pathologist.

22 **DR. LAURA GREEN:** Oh, so maybe I should
23 just ask you then.

1 DR. JAMES MCMANAMAN: Is this is a
2 clarification question?

3 DR. LAURA GREEN: Sorry, sorry. Here's
4 my question to you all.

5 DR. JAMES MCMANAMAN: I really want to
6 get to these charge questions but we've got to ask
7 these --

8 DR. LAURA GREEN: Sorry. Would you not
9 benefit from -- since obviously lymphoma's kind of an
10 important issue here, right, we haven't even gotten
11 really to the epi. Would you not benefit from a more
12 detailed discussion in your white paper, whatever this
13 is called, about lymphoma in mice? As Dr. McManaman
14 has mentioned, there's a lot of data from the CD1
15 mouse. My friends who are pathologists, and
16 apparently, I have one here or close to it, have told
17 me that first of all, there are a very diverse group
18 of cancer. Second of all, a lot of pathologists don't
19 agree among themselves as to what kind of malignant
20 lymphoma they're talking about.

21 My understanding is that the historic
22 ranges range from like 1 percent in aged rat to like
23 25 percent in aged rats. I think there's -- my
24 superficial understanding is that there's so much

1 interesting detail here from the pathology that I
2 think you would maybe do yourselves a favor if you
3 would consult with some pathologists in the agency or
4 others because I think this is going to be a really
5 important thing to talk about.

6 And let me just also say, looking at my
7 statistics friends, as far as I can tell, since there
8 are 15 valid bioassays here, this means you have 13 --
9 I'm sorry, you have 30 experiments where the question
10 has been asked is lymphoma dose related to glyphosate
11 or not. And I think a lot of us might benefit from a
12 more holistic discussion of all 30 tests of the same
13 hypothesis.

14 **DR. JAMES MCMANAMAN:** I think we'll do
15 that during the charge question discussion. Okay.

16 **DR. CHARLES WOOD:** Very quickly.
17 Charles Wood, and I am a pathologist. And I take your
18 point that more discussion could be built up around
19 the variability that is seen across colonies, across
20 strains, and even across specific laboratories, mainly
21 due to endogenous and exogenous retroviruses.

22 **DR. JAMES MCMANAMAN:** All right. Dr.
23 Sheppard? Okay. Wait a minute. All right. Dr.
24 Sheppard first and then Dr. Johnson.

1 **DR. LIANNE SHEPPARD:** One of the things
2 that I struggled with in reading this whole section
3 was thinking about how animal studies are designed and
4 then thinking about how you weighted the evidence.
5 Animal studies are designed to detect on the order of
6 10 percent excess cancers or whatever events. Whereas
7 for human health, we care about things that happen on
8 the order of one in a million or less.

9 That's one of the reasons why we study
10 such high doses, right? Is to understand what might
11 happen at the high doses in order for, in risk
12 assessment, to extrapolate down to the lower dose.

13 And that's in fact, I think, why your
14 guidelines say you need at least 50 animals per group
15 and why you need to have a sufficiently high dose; and
16 why you have to have at least four doses and they have
17 to be at a range that captures the range. Given that,
18 I'd like a little bit more comment from EPA about why
19 is it appropriate to discount the highest dose in
20 these studies in your evaluation? In many of the
21 studies they were discounted because they were at or
22 above the limit dose which we've already established
23 is sort of an arbitrary number.

1 **DR. MONIQUE PERRON:** First of all, I
2 would say that we aren't discounting because they were
3 seen at that dose; we were discounting based on the
4 weight of evidence analysis for each of those
5 individual studies. In addition to that, we have also
6 noted that none of the tumor types were reproduced in
7 the same species at similar or higher doses and beyond
8 that. Even if you did consider those tumor findings
9 at the highest doses to be treatment related, we don't
10 believe they would be considered relevant for human
11 health risk assessment and that goes back to some of
12 our discussion earlier today that you're at a dose
13 well above.

14 They weren't discounted because of it,
15 there was just additional characterization put into
16 the paper to say that even if you considered these
17 treatment related, they wouldn't be relevant for human
18 health risk assessment because 1000 milligrams per
19 kilogram per day remember, the label is the law. It
20 is used to manage your exposure to pesticides. In
21 order to get that type of dose is just almost
22 implausible. That's where that argument comes in.

23 Again, we're not discounting the
24 findings because they're at the high dose, but we are

1 characterizing that there has been some disagreement
2 about whether those are treatment related. And even
3 if you did, they are not considered relevant for human
4 health risk assessment.

5 **DR. LIANNE SHEPPARD:** But there's a lot
6 more that's done in human health risk assessment that
7 has to do with extrapolation and species and so on. I
8 mean, by down weighting those high doses, that's where
9 the evidence is because that's how the studies were
10 designed. That basically is saying that we're not
11 going to consider animal studies is what I think it
12 says.

13 **DR. JAMES MCMANAMAN:** Wait, I think
14 we're getting into an area that we don't want to get
15 into right at this point. I don't think that further
16 discussion is going to make much difference about
17 this. We can discuss this; we can talk about it
18 during the charge question discussion. Dr. Johnson?

19 **DR. ERIC JOHNSON:** Just a general
20 question. My question is in all the studies you've
21 done, all the reviews you've done, did you see any
22 gender differences in how this compound is handled at
23 all?

1 **DR. MONIQUE PERRON:** I think we touched
2 upon this a little bit with somebody else's question.
3 If we didn't show the data for the other sex that
4 meant, we didn't see it. Actually, I think there's
5 only one tumor type that we saw in one study where you
6 saw it in both sexes. Typically, we would only see it
7 in one sex, actually.

8 **DR. ERIC JOHNSON:** I'm not referring to
9 the tumor -- any outcome -- I'm just saying how this
10 compound is handled biologically by the different
11 sexes.

12 **DR. ANWAR DUNBAR:** This is Anwar
13 Dunbar. No. No. In all the guideline studies, no.

14 **DR. JAMES MCMANAMAN:** All right. This
15 has been a very thorough discussion. I think that we
16 could maybe move onto the next presentation, Genetic
17 Toxicity. Dr. Ackerman?

18 **DR. GREGORY ACKERMAN:** This is Greg
19 Ackerman, the Health Effects Division again.
20 Stephanie and I will discuss the data evaluation of
21 the genetic toxicity findings.

22 This slide shows the outline of the
23 presentation where I will first provide some
24 background information on genotoxicity and then

1 describe the source of the data used in our
2 evaluation. And then I will describe the three main
3 types of genotoxicity to analyze which will include
4 the gene mutation studies, in vitro and in vivo
5 studies evaluating chromosomal abnormalities and then
6 assays evaluating primary DNA damage.

7 Now I'll next describe the assessment
8 of data and how we used the weight of evidence
9 approach to make our conclusions.

10 I think the battery's dead on this.

11 **DR. JAMES MCMANAMAN:** Are we having
12 technical difficulties?

13 **DR. GREGORY ACKERMAN:** Yeah, the
14 battery's not working. It keeps -- it turns on and
15 then back right off again.

16 **DR. JAMES MCMANAMAN:** Okay. The
17 computer's behaving like glyphosate. It's just kind
18 of random. Well, while we're tracking down batteries,
19 we'll take a break. Be back at 3:00.

20 **[WHEREUPON A BREAK WAS TAKEN]**

21 **DR. JAMES MCMANAMAN:** Okay. A couple
22 of announcements. One is try to not lean in too far
23 to the microphones. But lean in far enough that you
24 be heard because it does get garbled. And we're

1 trying to get a transcript of this, so it's important
2 that they can hear what we're saying.

3 Secondly, as we're running late, we
4 have two public presenters that we would like to get
5 in today, so the question is to those presenters. Are
6 you going to be around? Because we're going to be
7 probably an hour late or so, so we may be running up
8 against 7:00 this evening before we complete. If
9 there's an issue, you should let us know, and we'll
10 try to reschedule.

11 But those are the two things. It looks
12 like we're running late, and so we're going to try to
13 get this part of the docket done today. With that,
14 Dr. Akerman's going to put on his best Brooklyn accent
15 and rush right through this.

16 **DR. GREGORY AKERMAN:** All right. Thank
17 you. Again, this is Greg Akerman. And when I left
18 off, I had just presented my outline and my
19 presentation so I'll move forward.

20 Genotoxicity is a broad term used to
21 describe damage to genetic material. This damage can
22 be transient or permanent. Transient damage is
23 unintended modification of structure of DNA. This
24 type of damage is repairable and may or may not

1 undergo successful repair. Whereas, permanent DNA
2 damage refers to heritable changes in DNA sequence,
3 better known as mutations. Such changes in a single
4 base pair or a single or multiple genes or
5 chromosomes, and this include chromosomal breaks
6 leading to deletions, duplications, rearrangements of
7 chromosome segments, and mitotic recombinations.

8 The consequences of genotoxicity may
9 lead to cancer if mutations occur within regulatory
10 genes such as (inaudible) genes or tumor suppressed
11 genes, and may also signal a cell to undergo apoptosis
12 in which case the damage is not fixed and passed along
13 to daughter cells.

14 Battery in genotoxicity, the chemical
15 involves a weight-of-evidence approach that considers
16 various types of genetic damage that can occur. No
17 single genotoxicity assay evaluates the many types of
18 potential genetic alterations that may be induced by a
19 chemical. The Agency employs a battery of
20 genotoxicity tests to adequately evaluate the genetic
21 endpoints important for regulatory decision-making.
22 EPA considers genotoxicity as part of the weight of
23 evidence when determining the human carcinogenic
24 potential of a chemical.

1 This slide shows the mutagenicity
2 testing required for pesticide registration.
3 Mutagenicity testing is required for all food use and
4 non-food use pesticides. The current battery includes
5 a bacterial reverse mutation test, which is also known
6 as the Ames assay. And as well as an in vitro forward
7 mutation and in vitro mammalian cell chromosomal
8 aberration test and an in vivo test for either a
9 micronucleus induction or chromosomal aberrations, the
10 source of genotoxicity data for fit-for-purpose
11 systematic review identified data from both regulatory
12 studies and the published literature.

13 Since the purpose of this review is to
14 determine the carcinogenic potential of glyphosate in
15 humans, for our evaluation, we limited the studies to
16 mammalian based assays and conventional mutagenicity
17 assay in bacteria. For example, the Ames assay. The
18 search identified studies for both glyphosate-
19 technical and glyphosate-based formulations. The
20 search also identified regulatory studies that were
21 not previously available to the Agency.

22 Next, we cross-referenced studies
23 identified from the search with published review
24 articles on glyphosate as well as recent international

1 evaluations of glyphosate. This includes 17
2 genotoxicity studies to the active ingredient
3 glyphosate that were evaluated in the 2013th year in
4 Kirkland review article. But these studies were not
5 available to the Agency. However, summary data files
6 for these studies are available online by the journal.
7 And we noted in the White Paper where we used summary
8 data from these studies.

9 In considering the quality of the data,
10 both from published studies and unpublished or
11 regulatory studies, we considered the study design,
12 how the data were reported, and how well the study was
13 conducted. We also considered critical elements such
14 as test conditions such as pH, solubility, and
15 cytotoxicity, and elements of the study design such as
16 number of test organisms, doses tested, and use of
17 controls and whether or not there was blinded
18 evaluation, for example. This was applied to the
19 evaluation of both published and non-published data.

20 In cases where they determined that the
21 testing conditions or study designs were inappropriate
22 and clearly had an impact on the outcome, for example
23 with improper pH conditions were tested in in vitro

1 study, then those studies were excluded from our
2 analysis.

3 The assays included in our evaluation
4 that detect gene mutations included bacterial
5 mutagenicity tests and in vitro mammalian cell gene
6 mutation tests. And assays that detect chromosomal
7 damage included in vitro and in vivo chromosomal
8 aberration tests and micronucleus tests. And finally,
9 genotoxicity tests, they'd also include assays that
10 detect primary DNA damage, which included the Comet
11 assay and Unscheduled DNA synthesis assays.

12 As I mentioned earlier, we used the
13 weight-of-evidence approach to evaluate the
14 genotoxicity data. Different factors influenced how
15 much weight we gave to the genotoxicity findings. For
16 example, permanent DNA damage was given more weight
17 than findings of transient DNA damage. Evidence of
18 chromosomal damage, for example, was given more weight
19 than evidence of primary DNA damage. In vivo findings
20 were given more weight than in vitro findings. And
21 the routes and administered doses were considered for
22 the relevance in human health risk assessment.

23 In the studies that evaluated gene
24 mutations, 27 studies or assays were identified that

1 evaluated glyphosate technical. All 27 were found to
2 be negative for the induction of mutations, both in
3 the presence and absence of metabolic activation.

4 Four studies were identified that measured gene
5 mutations in mammalian cells in vitro. And one assay
6 was conduct in CHO cells and three were conducted in
7 mouse lymphoma assays. All four were negative in the
8 presence and the absence of S9 activation for
9 metabolic activation.

10 In vitro studies evaluating chromosomal
11 abnormalities, there were eight in vitro studies that
12 looked at chromosomal aberrations. Six of the eight
13 were negative. All three that were conduct in cell
14 line CHO or CHL cell lines were negative. There were
15 two studies using lymphocytes that were positive for
16 chromosomal aberration induction, both in the same
17 laboratory. One used human lymphocytes and one used
18 bovine lymphocytes.

19 However, there were three other studies
20 using lymphocytes that reported negative findings, one
21 in bovine and two in human lymphocytes, which were
22 tested up to much higher concentrations, over a 100-
23 fold higher in bovine cells and over 800-fold higher
24 in human cells that were negative.

1 Looking at the in vitro micronucleus
2 tests, there were six identified from the published
3 literature, four of the six showed positive results
4 and two showed equivocal results. Of the positive
5 responses, three required metabolic activation and two
6 were conducted using human lymphocytes, and one was
7 conducted in CHO cells.

8 Positive response was also reported in
9 a cell line, TR146 cells, which is a tumor cell line
10 derived from human buccal mucosa. Which had not been
11 previously used at that time for genotoxicity testing.

12 As mentioned previously, glyphosate was
13 also negative in the three mouse lymphoma assays.
14 Which, in addition to detecting gene mutations, it can
15 also detective chromosomal damage.

16 Next, we looked at the in vivo tests
17 for chromosomal abnormalities. These included three
18 in vivo mammalian bone marrow chromosomal aberration
19 assays. All three of these were negative. It
20 included two studies conducted in the rat, one by i.p.
21 injection up to 1,000 mg/kg and one by oral gavage
22 with glyphosate trimesium salt. There was also one
23 study that was conducted in a mouse up to 5,000
24 mg/kg/day.

1 In addition, there were two in vivo
2 rodent dominant lethal tests, which evaluates the
3 potential of a chemical to induce mutations in germ
4 tissue. These were negative. One was conducted in a
5 mouse, and one was conducted in a rat.

6 A systematic review identified a large
7 number of in vivo mammalian micronucleus assays that
8 were conducted with glyphosate. There were 19 studies
9 in total. It includes studies conducted for
10 regulatory purposes and studies that were published in
11 the open literature and one study that was conducted
12 by NTP.

13 Of these studies, nine studies were
14 conducted by the i.p. route. They were all conducted
15 in the mice. And ten studies were conducted by the
16 oral route. Of the oral route studies, eight were
17 performed in mice by oral gavage and one, the NTP
18 study, was conducted by dietary administration. And
19 there was one study in the rat that was by oral
20 gavage, so the NTP studies, and the one by dietary
21 administration.

22 This slide shows the results from the
23 in vivo micronucleus studies that were conducted by
24 i.p. administration. Seven out of nine studies were

1 negative, which tested up to approximately 2,000
2 mg/kg, either a single or double dose administration.
3 The two positives were identified from the open
4 literature.

5 One study, Bolognesi, reported positive
6 findings in male mice at a dose of 300 mg/kg and that
7 was administered at half-doses that were 24 hours
8 apart. And the Manas et al. reported positive
9 findings in both male and female mice administered two
10 doses of 200 mg/kg per day 24 hours apart. Again,
11 these are by i.p. administration.

12 There were seven other studies that
13 were performed by using i.p. administration, and they
14 were tested up to much higher doses. And those showed
15 no significant induction of micronuclei.

16 Moving on to the in vivo micronuclei
17 studies that were administered by oral gavage, eight
18 of the nine studies in the mice were negative up to
19 5,000 mg/kg/day glyphosate. The only positive finding
20 was seen in female mice treated with two doses of
21 5,000 mg/kg, and they were seen at 24 hours after
22 dosing. It should be noted that the male mice in the
23 study were negative for micronuclei induction up to
24 the same dose of 5,000 mg/kg/day.

1 Finally, in the NTP study with dietary
2 administration of rats, there was no significant
3 induction of micronuclei following 13 weeks of dietary
4 administration up to 3,000 mg/kg/day of glyphosate.

5 Next, we looked at studies that
6 evaluated primary DNA damage. The systematic review
7 identified a number of genotoxicity assays that
8 evaluate primary DNA damage. Again, these are studies
9 that measured genetic damage but not the consequence
10 of genetic damage, so not the mutation or the
11 chromosomal damage. The endpoints measured in primary
12 DNA damage tests include DNA adduct formation, DNA
13 migration and comet assays, unscheduled DNA synthesis,
14 all of which may lead to cell death or may initiate
15 DNA repair rather than a mutation.

16 Glyphosate was negative in the only
17 study identified that evaluated the potential for
18 glyphosate to form DNA adducts in mice. Again,
19 Bolognesi et al. did report evidence of oxidative
20 damage using a biomarker 8-hydroxydeoxyguanosine in
21 the liver. It was not seen in the kidney in mice, and
22 this was following an i.p. injection of 300 mg/kg/day.

23 It is noted that some have reported
24 LD50 glyphosate in the range of 134 to 545 mg/kg/day.

1 But in our review, the validity was not an issue in
2 this dose range in the majority of the i.p. studies we
3 reviewed.

4 Glyphosate was evaluated in two
5 unscheduled DNA synthesis assays using rat primary
6 hepatocytes. There was no significant increase in
7 unscheduled DNA synthesis in either of the studies.
8 It was also negative in a DNA repair test using the
9 Rec-A test in bacteria.

10 Bolognesi reported an increase in
11 single-strand breaks in the liver and kidney in mice
12 four hours after an i.p. injection of 300 mg/kg. This
13 was using an alkaline elution assay. However, they
14 noted that after 24 hours, the elution rate returned
15 back to normal levels.

16 In five studies that were identified
17 that used a comet assay to detect primary DNA damage,
18 all five reported positive findings. However, there
19 were some issues or some uncertainties with how
20 studies were conducted or how the data reported that
21 identified during our review of the studies, which may
22 limit the impact of the findings. There were two
23 studies that were conducted using tumor cell lines.

1 One in HEp-2 cells, which is a HeLA derived cell line.

2 And again, the TR146 human derived buccal cell line.

3 Two comet studies were conducted in
4 human lymphocytes. One reported only an increase in
5 tail length in the comet assay, and the other one
6 reported an increase in tail intensity. And there was
7 a 14-day drinking study by Manas et al. that reported
8 positive comet findings in blood and liver cells in
9 mice dosed with 40 and 400 mg/kg/day. There were a
10 number of limitations identified in this study as well
11 as questionable biological significance and based on
12 just the magnitude of the changes that got reported.

13 There was also a number of sister
14 chromatid exchange assays that were identified during
15 our systematic review. These were conducted either in
16 bovine or human lymphocytes. This particular assay
17 has sort of fallen out of favor in the regulatory
18 arena because the mechanism of action for the
19 induction of sister chromatid exchange is unclear.
20 And in fact, OECD no longer has an active guideline
21 for this particular assay.

22 Glyphosate was also evaluated in a cell
23 transformation assay. Although mechanisms other than
24 genotoxicity can result in positive response in this

1 assay, glyphosate was negative in the cell
2 transformation assay.

3 In summary, the systematic review
4 identified an expansive collection of genotoxicity
5 studies evaluating glyphosate using a variety of test
6 systems and genetic endpoints. A weight-of-evidence
7 approach was used to evaluate the genotoxicity data.
8 This involved integrating in vitro and in vivo results
9 as well as an overall evaluation of the quality,
10 consistency, reproducibility, magnitude of response,
11 dose-response, and relevance of the findings.

12 Genetic endpoints of gene mutation in
13 chromosomal alterations were given more weight than
14 endpoints reflecting the primary DNA damage that could
15 be transient or reversible.

16 In vivo mammalian studies were given
17 the greatest weight. And more weight was given to
18 doses and routes of administration that were
19 considered to be relevant for evaluating genotoxic
20 risk based on human exposure to glyphosate.

21 Glyphosate technical is not considered
22 to be electrophilic and did not induce DNA adducts in
23 the liver or kidney. Evidence of DNA strand breaks
24 were reported in a number of mammalian studies that

1 used the comet assay. Additionally, transient
2 increases in alkali labile sites in the liver in mice
3 were reported.

4 However, due to some of the technical
5 limitations identified in a number of these studies --
6 for example, the use of cancer cell lines that have
7 not been well-characterized or atypical exposure
8 protocols. Also, in some cases there was a lack of
9 indication whether the study was conducted blinded
10 treatment. We determined that caution should be
11 exercised when interpreting some of these results.

12 There's no evidence of gene mutations
13 in vitro in mammalian cells or in bacteria. And while
14 there were mixed results of studies evaluating
15 chromosomal alterations in vitro, all three of the in
16 vivo chromosomal aberration studies were negative.
17 And glyphosate was also negative in the rodent
18 dominant lethal test.

19 Glyphosate was negative in 16 of the 19
20 in vivo bone marrow micronucleus studies. Two that
21 were positive were conducted by i.p. routes, and one
22 was positive at oral route at 5,000 mg/kg. The
23 positive findings were not seen at other micronucleus

1 studies testing at similar or higher doses for these
2 routes of administration.

3 Overall, the weight of evidence
4 indicates there was no convincing evidence that
5 glyphosate induces mutations in vivo via the oral
6 route. When administered by i.p. injection, the
7 micronucleus studies were predominantly negative.
8 There was limited evidence of genotoxic effects in
9 some of the in vitro experiments, but the in vivo
10 effects were given more weight than in vitro effects,
11 particularly when the same genetic endpoint was
12 evaluated.

13 The only positive finding reported in
14 vivo were seen at relatively high doses that were not
15 relevant for human risk assessment. And the
16 information provided in this presentation is related
17 to charge question number four to the panel.

18 And at this time, I'll take any
19 questions.

20 **DR. JAMES MCMANAMAN:** All right. Any
21 questions from the panel for Dr. Akerman or about this
22 presentation?

23 What? Oh, Dr. Green. Of course.

24 **DR. LAURA GREEN:** Sorry.

1 **DR. JAMES MCMANAMAN:** That's all right.
2 I was worried that we had fallen asleep or something.

3 **DR. LAURA GREEN:** I'm wondering the
4 raison d'etre for what you looked at and didn't, and
5 here's what I have in mind. Those of us, as I'm sure
6 we all are, interested in mode of action as sort of an
7 approach to whether something has carcinogenic
8 potential and how you can think about it. Obviously,
9 a key mode of action for stressors that increased risk
10 of lymphoma is immunotoxicity.

11 And I'm not sure I saw in the draft
12 document -- nor did I see in your presentation or
13 anyone else's presentation -- whether you all had
14 included or excluded immunotoxic assays or endpoints
15 in what you've been looking at. I mean, clearly,
16 genotoxicity is a way to cancer, but it's not the only
17 way to cancer, nor is ordinary old cytotoxicity. I
18 guess I'm wondering whether that was in or out or how
19 we should think about it.

20 .

21 **DR. GREGORY AKERMAN:** We didn't include
22 immunotoxicity as one of --

23 **DR. LAURA GREEN:** I'm sorry. Did or
24 not?

1 **DR. GREGORY AKERMAN:** Did not include
2 immunotoxicity as one of the search terms for it. But
3 we have -- okay. I'm sorry. Go ahead.

4 **DR. ANWAR DUNBAR:** We do have an
5 immunotoxicity study, but it's negative.

6 **DR. LAURA GREEN:** Can you elaborate?

7 **DR. ANWAR DUNBAR:** There was no -- the
8 sheet, the red blood cell assay, it's not in the White
9 paper. No. But we could add that in, though.

10 **DR. LAURA GREEN:** Thank you.

11 **DR. JAMES MCMANAMAN:** Okay. That was
12 Dr. Akerman and Dr. Dunbar. Other questions? Yeah.
13 Dr. Zhang.

14 **DR. LUOPING ZHANG:** Luoping Zhang. I
15 thought maybe they are very limited studies, really,
16 testing for immunotoxicity. That's number one. I
17 think from some of your report, only one thing
18 mentioned the immunoassay. Actually, I think I saw
19 that. I don't know if that's what you mentioned. Oh,
20 unless you think that you already see quite a lot of
21 immunotoxicity data.

22 **DR. MONIQUE PERRON:** So in the current
23 paper that was provided to you, there was not
24 information on immunotoxicity provided. What Dr.

1 Dunbar just mentioned is that we have another study
2 available as part of our battery of tests that are
3 required as part of registration that looks
4 specifically at immunotoxic effects. And in that
5 study, there were no adverse effects seen. And we can
6 provide that information to you.

7 **DR. LUOPING ZHANG:** Yeah. That paper.

8 **DR. MONIQUE PERRON:** So you don't have
9 it at this moment, but we can provide that. Sorry.
10 This is Monique Perron.

11 **DR. LUOPING ZHANG:** That's definitely
12 helpful.

13 **DR. MONIQUE PERRON:** Sure. No problem.

14 **DR. JAMES MCMANAMAN:** Other questions?
15 Yes. Dr. Taioli.

16 **DR. EMANUELA TAIOLI:** Emanuela Taioli.
17 Some of the slides had the references. Some didn't.
18 Is that because you used the same criteria we talked
19 about this morning that some are unpublished material
20 and some are published? Or just there were no
21 references because it had no space or something?

22 **DR. MONIQUE PERRON:** This is Monique
23 Perron. in terms of the slides, it just happened to
24 be like that. But if you look at the tables in the

1 paper, all of them are cited by the author names.
2 They were all treated equally whether they were
3 published or unpublished.

4 Much of the data, actually, is both.
5 Some of it has been provided to us by registrants, but
6 they've also published that data, as well, so also
7 noting that. And altogether really across published
8 or unpublished we saw pretty much the same results,
9 you know, except for the few instances that Dr.
10 Akerman pointed out.

11 **DR. EMANUELA TAIOLI:** This is
12 definitely not my area of expertise because I'm an
13 epidemiologist. But 29 studies and like everything
14 negative in a sense that they all look the same
15 because that never happens to us to see 30 things,
16 they are the same. So just statistically there's
17 always something that looks different. I'm just
18 wondering if that's common in this area?

19 **DR. GREGORY AKERMAN:** This is Greg
20 Akerman. If you look across genotoxicity assays, you
21 always see positives pop up. But I think that way
22 you're talking about the Ames assay? That one's
23 probably not so unusual to get negative response in
24 that. We still have criteria of what a response is so

1 it's not like it was not just an increase. We have
2 certain levels where we consider it to be a positive
3 response or not.

4 **DR. JAMES MCMANAMAN:** Yes? Dr. Jett.

5 **DR. DAVID JETT:** This is Dave Jett. I
6 was not going to ask this, but we're talking about the
7 number of studies. The comet assay studies, how many
8 were there? I can't recall the slide, but there was
9 more than one, right?

10 **DR. GREGORY AKERMAN:** Right. And
11 they're all from the published literature. Yes.

12 **DR. DAVID JETT:** Okay. Was it five,
13 ten, or? Yeah. And I guess so the question I
14 actually have is it correct to assume that all of
15 those were problematic and that's why it, sort of,
16 downgraded their significance? Because they were
17 positive, if I recall, right?

18 **DR. GREGORY AKERMAN:** Right. Their
19 issue is with some of them because some people would
20 call a positive response, and it was just an increase
21 in tail length where under OECD guidelines, we only
22 look at tail intensity as a better parameter of a
23 measure. In that case, that would limit some of them.

1 There are still some positive
2 responses, but we looked at in the weight of evidence
3 looking at, as I mentioned before, putting more weight
4 on endpoints that were chromosomal damage or mutations
5 and in vivo versus in vitro effects, as well.

6 **DR. DAVID JETT:** Okay.

7 **DR. JAMES MCMANAMAN:** Dr. Zhang.

8 **DR. LUOPING ZHANG:** Okay. Luoping
9 Zhang. I have a specific question just to try to
10 clarify, but if you don't remember it you can get me
11 back later. For the human monitoring study, the
12 Bolognesi -- I don't know how to -- Bolognesi -- si,
13 Italiano -- 2009, micronuclei, is this study included
14 in your evaluation as a human monitoring study or not?
15 And if no, what's the reason that one wasn't tested.

16 **DR. MONIQUE PERRON:** So we decided to
17 include the human biomonitoring as part of the epi
18 analysis. Those were all studies that were considered
19 low in terms of being able to provide information with
20 respect specifically to glyphosate and whether there
21 was an outcome of concern there.

22 **DR. LUOPING ZHANG:** So you include it
23 or not include it? Not include it because you can see
24 that it's low?

1 DR. MONIQUE PERRON: Right.

2 DR. LUOPING ZHANG: Why? Why is it
3 low, the human monitoring --

4 DR. MONIQUE PERRON: So going back to
5 that flowchart from earlier today, it didn't meet some
6 of the criteria there. It didn't get a detailed
7 evaluation for those reasons. For many of them, they
8 assumed glyphosate exposure, but really, they had no
9 glyphosate-specific information. They just had total
10 pesticide use as their exposure metric. We were
11 looking for glyphosate-specific studies that would
12 inform whether we think glyphosate would cause a
13 carcinogenic effect.

14 DR. LUOPING ZHANG: That brings me to
15 my second question. You're saying from that flowchart
16 anything scored low quality in there is not included?
17 Not only because it's from the human study, even
18 though from the genotoxicity data, like biomonitoring,
19 you took it out. Because I remember, you know, two
20 off of the lows, you know, they have a specific --
21 besides Cocco 2013, Koureas.

22 DR. MONIQUE PERRON: Koureas? Yes.

1 **DR. LUOPING ZHANG:** Yeah. That's
2 actually measure the DNA damage so that's part of
3 genotoxicity.

4 **DR. MONIQUE PERRON:** Yes. As we walked
5 through earlier today -- sorry. Again, this is
6 Monique Perron. That study used an outcome assessment
7 that wasn't very specific for the outcome. There are
8 other more specific ways to measure the outcome such
9 as HPLC or GC-MS. We just didn't think that the data
10 would be robust enough to rely on at that point. When
11 we looked across all the key considerations, we put
12 that into the low category. It was not considered
13 reliable to inform the carcinogenic potential of
14 glyphosate.

15 **DR. JAMES MCMANAMAN:** Other questions?
16 Yes. Dr. Shaw.

17 **DR. JOSEPH SHAW:** So you mentioned that
18 you defined mutation as including insertions,
19 deletions, as well as rearrangements. Which assays
20 give a measurement of insertion, deletion, or
21 rearrangements?

22 **DR. GREGORY AKERMAN:** So if it was an
23 assay that caused chromosomal damage, we would assume
24 that it could cause that. It was not one that

1 actually measured those. But I was just giving
2 examples of what, in general, are considered mutations
3 if it's assumed, if it caused damage to the
4 chromosome, that you can end up with a mutation.

5 **DR. JOSEPH SHAW:** Okay.

6 **DR. JAMES MCMANAMAN:** Other questions?

7 (Whereupon, there was no response.)

8 **DR. JAMES MCMANAMAN:** Okay. Hearing
9 none, we'll move on to the next presentation.

10 **DR. MONIQUE PERRON:** So this is Monique
11 Perron. I'm going to be presenting the last
12 presentation, which is data integration and weight-of-
13 evidence analysis across multiple lines of evidence.

14 In 2010, OPP developed a draft
15 "Framework for Incorporating Human Epidemiological and
16 Incident Data in Human Health Risk Assessment," which
17 provides the foundation for evaluating multiple lines
18 of scientific evidence. This framework is consistent
19 with the World Health Organization's mode of
20 action/human relevance frameworks, and highlights the
21 need to integrate information at different levels of
22 biological organization.

23 The conclusions and observations from
24 the epidemiological animal carcinogenicity, and

1 genotoxicity studies were evaluated in the context of
2 the modified Bradford Hill Criteria. Additional
3 information, such as metabolism and potential
4 mechanistic information, was also considered.

5 Starting with dose-response and
6 temporal concordance. Given the lack of consistent
7 positive findings, particularly at doses of less than
8 1,000 mg/kg/day across the lines of evidence, the lack
9 of mechanistic understanding of glyphosate, and lack
10 of biological activity in mammalian systems to
11 glyphosate, there are few data to assess key events in
12 the biological pathway and the associated temporal or
13 dose concordance.

14 However, with respect to the
15 epidemiological studies, the prospective cohort study
16 is designed to collect exposure information prior to
17 the development of a cancer. In De Roos et al., there
18 was no association found between glyphosate exposure
19 and numerous cancer subtypes. There was also no
20 increase in effect estimates with increasing exposure
21 for almost all of the cancer types.

22 In the case-control studies that
23 divided cases and controls into two exposure
24 categories, greater effect estimates were obtained for

1 the highest exposure categories in both instances.
2 However, there was no adjustment for exposure to other
3 pesticides in these studies, and the stratification
4 reduced the power or the number of exposed cases and
5 controls since the studies were already limited by the
6 number of exposed cases and controls overall.

7 So there seems to be conflicting
8 results with response to dose-response relationships
9 between the cohort and case-control studies. It also
10 should be noted that these analyses again, they
11 combine all NHL subtypes, which may have etiological
12 differences. Although some studies did provide effect
13 estimates for subtypes, there were not considered in
14 the current evaluation due to limited sample sizes.
15 At this time, there are no data available to evaluate
16 dose-response for NHL subtypes

17 Furthermore, a dose-response
18 relationship was not observed following the dramatic
19 increase in glyphosate use due to the introduction of
20 glyphosate-tolerant crops in 1996.

21 Due to the change in the use pattern
22 from introducing these crops, if a true association
23 exists between glyphosate exposure and non-Hodgkin
24 lymphoma, the large increase in use would be expected

1 to result in a corresponding increase in the risk of
2 NHL associated with glyphosate. Therefore, higher
3 effect estimates would be expected in more recent
4 studies. However, some of the highest adjusted risk
5 measures for NHL were reported prior to 1996.

6 Similarly, if a true association
7 exists, it would be expected that higher effect
8 estimates would be reported in countries with higher
9 use of glyphosate and/or that use glyphosate-tolerant
10 crops such as the United States and Canada as compared
11 to countries that exhibit less use. Once again, this
12 trend was not observed, such that effect estimates in
13 Sweden were similar or higher than those reported in
14 the United States and Canada.

15 With respect to the animal bioassays,
16 key events in the mode of action or adverse outcome
17 pathway are evaluated to confirm that they precede
18 tumor appearance. This temporal concordance
19 evaluation cannot be conducted for glyphosate since a
20 mode of action has not been established for mammals.
21 It was noted, though, however, that there were no
22 preneoplastic or related non-neoplastic lesions
23 reported in any of the studies to support any of the
24 observed tumors.

1 Additionally, there was no support of a
2 direct mutagenic mode of action in genotoxicity
3 studies and only limited evidence of genotoxicity in
4 vitro studies that was not supported by the in vivo
5 findings.

6 Strength, consistency, and specificity.
7 A large database is available for evaluating the human
8 carcinogenic potential of glyphosate. For
9 epidemiological studies, only one or two studies were
10 available for almost all the cancers investigated.
11 However, no evidence of an association was observed
12 with solid tumors, leukemia, or Hodgkin's lymphoma.
13 The data were considered inadequate for multiple
14 myeloma at this time. The largest number of studies
15 was available for NHL, for which a conclusion at this
16 time could not be supported.

17 With respect to NHL, the magnitude of
18 the ever/never effect estimates were relatively small
19 ranging from 1.00 to 1.85. The widest confidence
20 interval was observed with the highest estimate,
21 indicating less reliability in that estimate. All of
22 these estimates were non-statistically significant
23 with half of the estimates approximately equal to the
24 null and the other half clustered from 1.5 to 1.8. As

1 a result, studies of at least equal quality are
2 providing conflicting results.

3 Again, we also want to recognize that
4 the many limitations and concerns that were identified
5 for these studies and discussed earlier today such as
6 confounding and sample sizes.

7 There were also conflicting exposure-
8 response results. All of the effect estimates
9 reported in the prospective cohort study were below 1.
10 While higher effect estimates were reported in the
11 case-control studies when stratified into two exposure
12 categories. There are differences in confounding and
13 covariant controls as well as the study design. There
14 were also concerns identified in terms of sample sizes
15 and potentially short follow-up time.

16 Oh, I'm sorry. Oh, there it is.
17 Sorry.

18 Over 80 genotoxicity studies with the
19 active ingredient glyphosate were analyzed in the
20 current evaluation. And there's no convincing
21 evidence that glyphosate is genotoxic in vivo via the
22 oral route. Studies that administered glyphosate by
23 i.p. injection were predominantly negative. There
24 were two cases with increased micronuclei, but the

1 results were not reproduced at similar or higher
2 doses.

3 Glyphosate was negative in all gene
4 mutation assays. Although there is limited evidence
5 of positive findings for primary DNA damage, the
6 endpoints measured in these assays are less specific
7 in regards to detecting permanent DNA changes and can
8 be attributed to other factors such as cytotoxicity or
9 cell culture conditions.

10 There were some positive findings
11 reported for chromosomal alterations in vitro.
12 However, these findings were limited to a few studies,
13 and they were not supported by the in vivo studies
14 that are more relevant for the human health risk
15 assessment.

16 Biological plausibility and coherence.
17 The genotoxicity studies demonstrate that glyphosate
18 is not directly mutagenic or genotoxic in vivo. The
19 available data regarding non-cancer endpoints also do
20 not provide any support for carcinogenic process for
21 glyphosate and have shown glyphosate to have
22 relatively low toxicity.

23 In general, laboratory animals display
24 non-specific effects such as clinical signs and

1 reduced body weight following glyphosate exposure at
2 relatively high doses. And there were no observations
3 of lesions to corroborate any of the observed tumors
4 in the carcinogenicity studies.

5 As discussed earlier today, metabolism
6 studies demonstrate that glyphosate has low oral
7 absorption and it's rapidly excreted. The available
8 data, however, are not sufficient to determine whether
9 linear kinetics is occurring at the high doses where
10 some of the tumor findings were observed. I just want
11 to also note that there's a lack of mechanistic
12 understanding of glyphosate toxicity in mammals.
13 Although, the pesticidal mode of action is well
14 understood, it's not relevant for mammalian systems.

15 Overall, tumor incidences were only
16 increased at doses of approximately 1,000 or higher in
17 the animal bioassays. Human exposures to these high
18 doses is considered almost implausible based on the
19 currently registered use pattern. During the overview
20 this morning, I discussed how pesticide labels are
21 legally enforceable and function to manage the
22 potential risk from pesticides.

23 Based on the currently registered uses
24 for glyphosate, high-end estimates of potential

1 exposure ranged from 0.02 to 7. As a result, even if
2 the tumors seen at excessively high doses were
3 considered treatment related, they are not relevant
4 for human health risk assessment.

5 When evaluating a database, it's also
6 important to assess the uncertainties associated with
7 that available data. When the uncertainty is high,
8 there is less confidence in the exposure and effect
9 estimates. And therefore, informs in the reliability
10 of the reliability of the results. Understanding the
11 sources of uncertainty within a database can help
12 characterize observed results and aid in developing
13 new research that will have reduced uncertainty.

14 In some instances, the Agency did not
15 have access to all of the data underlying the studies
16 analyzed in the current evaluation. This included all
17 of the epidemiological studies, one animal
18 carcinogenicity study that was considered
19 unacceptable, and 17 genotoxicity studies. As a
20 result, the Agency had to rely upon information
21 reported by the study authors and without the raw
22 data, statistical analysis could not be replicated or
23 recalculated.

1 As mentioned earlier, there are
2 numerous metabolism studies available for glyphosate.
3 However, the data are not sufficient to determine
4 whether linear kinetics is occurring at high doses
5 where tumor findings were observed in the animal
6 bioassays. With respect to the epidemiological data,
7 the database is limited for each investigated cancer
8 with only typically one or two studies available.

9 Even in the case where six studies were
10 used for NHL, the results were constrained by
11 limitations of the individual studies such as small
12 sample size, missing data, and control selection
13 issues. More recent studies will help further
14 elucidate the association between glyphosate exposure
15 and cancer outcomes given the dramatic increase in
16 glyphosate use and the changing use pattern after the
17 introduction of glyphosate-tolerant crops.

18 Some have noted that the median follow-
19 up time for the Agricultural Health Study was about
20 seven years. A longer follow-up would be beneficial
21 to better understand whether there is an association
22 glyphosate and NHL given the latency of NHL and NHL
23 subtypes is relatively.

1 Another consideration is that farmers
2 and other applicators apply formulations, not the
3 active ingredient alone. It's possible that different
4 formulations were used across and/or within the
5 different epidemiological studies. There are studies
6 that have been conducted on numerous formulations that
7 contain glyphosate.

8 However, there are relatively few
9 research projects that have attempted to
10 systematically compare glyphosate and the formulations
11 in the same experimental design. Furthermore, there
12 are even less instances of studies comparing toxicity
13 across the formulations. Despite these uncertainties,
14 the available data are considered more than adequate
15 for evaluating the human carcinogenic potential of
16 glyphosate in order to determine a cancer
17 classification using the 2005 Guidelines.

18 There are five classification
19 descriptors in the 2005 Guidelines for carcinogen risk
20 assessment. When assigning a descriptor, all of the
21 available data from multiple lines of evidence are
22 used. The guidelines emphasize that choosing a
23 descriptor is a matter of judgment and cannot be
24 reduced to a formula. And that rather than focusing

1 simply on the descriptor, the entire range of
2 information included in the weight of evidence should
3 be considered.

4 The descriptor "carcinogenic to humans"
5 is appropriate when there is convincing
6 epidemiological evidence of a causal association
7 between human exposure and cancer. The descriptor
8 "likely to be carcinogenic to humans" is appropriate
9 when the weight of evidence is adequate to demonstrate
10 carcinogenic potential to humans but does not reach
11 the weight of evidence for the descriptor
12 "carcinogenic to humans."

13 Excuse me. The Agency does not believe
14 these two descriptors are supported by the weight of
15 evidence. There was no evidence of an association
16 between glyphosate exposure and solid tumors,
17 leukemia, and Hodgkin's lymphoma. The data were
18 considered inadequate for multiple myeloma at this
19 time. And a conclusion could not be supported for NHL
20 at this time.

21 None of the observed tumors were
22 considered treatment related. Even if they were, the
23 doses are not considered relevant for human health
24 risk assessment. Furthermore, the tumor findings were

1 not reproduced in other studies using the same strain
2 at similar or higher doses. And lastly, there was no
3 direct evidence of a mutagenic mode of action for
4 glyphosate.

5 The descriptor "inadequate information
6 to assess carcinogenic potential" is used when
7 available data are judged inadequate for applying one
8 of the other descriptors. Again, the Agency does not
9 believe that this descriptor is supported. There's an
10 extensive database available for glyphosate with well-
11 designed and well-conducted studies.

12 There is limited epidemiological data.
13 However, these data are not available for most
14 pesticides. Typically, two animal bioassays and a
15 battery of genotoxicity studies are the only data
16 available. And the Agency routinely evaluates human
17 carcinogenic potential using these smaller datasets.

18 The descriptor "suggestive evidence
19 of carcinogenic potential" is appropriate when a
20 concern for potential carcinogenic effects in humans
21 is raised but the data are judged not sufficient for a
22 stronger conclusion. It covers a spectrum of evidence
23 associated with varying levels of concern for
24 carcinogenicity.

1 The evidence to support this descriptor
2 are listed above. I will note that the first bullet
3 should actually say, "Non-statistically significant
4 effect estimates greater than the null for NHL and
5 meta-analysis based on ever/never use ranged from 1.3
6 to 1.5." I apologize for the typo.

7 In addition to that, there was limited
8 evidence of a possible exposure response relationship
9 in two case control studies. Statistically
10 significant trend results were observed in some of the
11 animal carcinogenicity studies. And in some
12 instances, statistically significant pair-wise
13 comparisons were seen when looking at unadjusted p-
14 values.

15 And there were some limited positive
16 responses in genotoxicity assays evaluating
17 chromosomal and primary DNA damage. However, the
18 guidelines state that rather than focusing simply on
19 the descriptor, the entire range of information
20 included in the weight-of-evidence narrative should be
21 considered. Therefore, it's not appropriate to view
22 these findings only in isolation.

23 The 2005 Guidelines also state that
24 positive findings should not be contradicted by

1 studies of equal or higher quality in the same
2 population group or experimental system. For the
3 epidemiological studies, half of the estimates were
4 approximately equal to the null. And there were
5 conflicting exposure response results between the
6 cohort and case control studies.

7 In the animal bioassays, statistically
8 significant tumor findings were not reproduced in
9 other studies, including those in the same strain at
10 similar or higher doses. And following the weight-of-
11 evidence evaluation, none of the tumor findings were
12 considered treatment related. And in seven of those
13 studies, there were no tumors identified for detailed
14 evaluation.

15 Lastly, the positive responses in
16 genotoxicity assays were not reproduced such that in
17 vitro results were not supported by positive responses
18 in vivo. And the endpoints evaluated in primary DNA
19 damage assays, which are less specific with respect to
20 permanent DNA changes. And these changes can also be
21 attributed to other factors such as cytotoxicity or
22 cell culture conditions.

23 The evidence to support the remaining
24 descriptor "not likely to be carcinogenic" includes no

1 evidence of an association in the epidemiological
2 studies. There were no tumors identified for
3 evaluation in 7 out of the 15 animal studies. And the
4 tumor findings were not considered treatment related
5 based on the weight-of-evidence evaluation. And the
6 tumor findings in those individual studies were not
7 reproduced in the same strain at similar or higher
8 doses.

9 All of the in vitro gene mutation
10 assays were negative, and positive in vitro findings
11 were not supported by in vivo results. And lastly,
12 there was no convincing evidence that glyphosate was
13 genotoxic in vivo.

14 For this descriptor, the guidelines
15 also state that you can consider whether there's
16 convincing evidence that a carcinogenic effect is not
17 likely below a defined dose range. It was noted that
18 even though tumor findings were not considered
19 treatment related, the tumor incidences were primarily
20 only increased at doses of approximately 1,000 or
21 higher. Incidences were not increased at dose levels
22 of 500 or less, except for the testicular tumors seen
23 in a single study.

1 And genotoxicity assays via oral
2 administration were negative except in one study at
3 5,000 mg/kg/day. Based on these oral studies, it
4 could be concluded that effects are not likely below
5 500 mg/kg/day.

6 The guidelines also state that weighing
7 of the evidence includes addressing not only the
8 likelihood of human carcinogenic effects of the agent,
9 but also the conditions under which such effects may
10 be expressed. As I just mentioned, increased tumor
11 incidences were primarily seen at doses of 1,000 or
12 higher. The only positive finding in an oral in vivo
13 genotoxicity assay was at a dose of 5,000 mg/kg/day.
14 And other positive in vivo findings were only observed
15 via i.p. injection.

16 As I discussed earlier today, high-end
17 estimates of potential exposure are well below these
18 administered doses. And as a result, these high doses
19 would not be considered relevant for human health risk
20 assessment.

21 After walking through all of the cancer
22 classification descriptors and considering the entire
23 range of information in the weight of evidence, the
24 data do not support the "carcinogenic to humans" or

1 "likely to be carcinogenic to human" descriptors.
2 Given the extensive database available for glyphosate,
3 the descriptor for "inadequate information to assess
4 carcinogenic potential" is also not supported.

5 There isn't strong support for
6 "suggestive evidence of carcinogenic potential,"
7 especially since positive findings are contradicted by
8 studies of equal or higher quality. The strongest
9 support at this time is for "not likely to be
10 carcinogenic to humans" at doses relevant to human
11 health risk assessment. On all of the information
12 that I just went over, we have drafted charge
13 questions under charge question five.

14 **DR. JAMES MCMANAMAN:** Okay. Questions
15 from the panel?

16 Yes. Dr. Sheppard.

17 **DR. LIANNE SHEPPARD:** So this is Dr.
18 Sheppard. I have to ask some questions about this
19 idea that the Epi study results for non-Hodgkin
20 lymphoma are conflicting. And I want to understand
21 exactly what the criterion is for determining
22 conflicting. I saw what I read in the document, which
23 was there was this post-hoc division of studies into
24 ones that had bigger estimates and ones that had had

1 smaller estimates. Is that your criterion, or is
2 there something else?

3 **DR. MONIQUE PERRON:** No. I think when
4 we looked across those six studies we just saw that
5 there seemed to be this clustering of three studies
6 that were right around the null. And then another
7 three that clustered around 1.5 to 1.8. But it wasn't
8 just that where there was where we would say
9 conflicting results. I mean I think that's just part
10 of the picture.

11 I think also in terms of the exposure
12 response relationships and that analysis, we
13 definitely see that in the cohort study, you have risk
14 estimates that are coming out below 1. And then in
15 these case control studies that did evaluate it in
16 different ways; they were finding a different result.
17 It's not just that. But I think we saw it as a little
18 bit more of across all these studies we're not exactly
19 seeing a consistent yes, we think definitely
20 something's going on.

21 **DR. LIANNE SHEPPARD:** Okay. Because
22 we're not deliberating, I'll set aside the dose-
23 response because that requires a longer conversation.
24 But I want to get at this idea that, as in this figure

1 you helpfully provided and had in your slides, we have
2 six estimates and confidence intervals that completely
3 overlap with each other.

4 And we have meta-analysis which are an
5 accepted way for combining evidence from epidemiology
6 that provide estimates on the order of 1.3 to 1.5.
7 All of which the bottom end of the confidence interval
8 is at or slightly above 1.01. And in fact, the I-
9 squared statistic for that said there was no residual
10 heterogeneity in those studies. So where is the
11 evidence of conflict in those estimates?

12 **DR. MONIQUE PERRON:** Sure. I guess in
13 many ways, we did characterize this to show that
14 information because a lot of people are putting weight
15 on those higher estimates. Even though all of them,
16 like you said, are overlapping, they were all non-
17 statistically significant. I mean I will go back,
18 again, on the meta-analysis that although it is an
19 accepted way to look at it, again, there should be
20 caution taken when looking at that data, especially
21 when there are not many studies available to include
22 in that meta-analysis.

23 But I think there is consistency in the
24 database. We just wanted to recognize that even if

1 you focus on those very high estimates, then you're
2 ignoring the fact that you have three studies that
3 were resulting in effect estimates approximately equal
4 to the null.

5 **DR. LIANNE SHEPPARD:** But the meta-
6 analysis uses all of those. And so that's a not post-
7 hoc way of using all of that evidence. But sort of
8 post-hoc saying, oh, there's three that are big and
9 there's three that are small, that's not a
10 statistically valid way to do -- that's not evidence
11 of conflict. I mean I guess what I'm trying to sort
12 out -- we don't want to be deliberating. But what I'm
13 trying to sort out is when you are using statistical
14 evidence and when you are using some other evidence.

15 **DR. MONIQUE PERRON:** So this is Monique
16 Perron again. So again, I think we were trying to
17 show the data in a different light. If you really
18 wanted the bottom line of how we looked at the data,
19 it was all of these are non-statistically significant.
20 They were consistently of small magnitude. And even
21 with a slightly significant meta-analysis where the
22 confidence limit was 1.03 or something like that --
23 again, the meta-analysis, which again I do feel that
24 caution should be taken with those.

1 I get what you're trying to say. But
2 you're also carrying over all of the limitations and
3 concerns that you have in individual studies every
4 time you do those meta-analyses. I will say that,
5 again, I think that, again, you are seeing a very
6 small magnitude, even in the meta-analyses. And in
7 many instances, actually they were non-statistically
8 significant.

9 **DR. LIANNE SHEPPARD:** Yeah. Not
10 statistically significant is different from
11 conflicting, right? Do you agree with that?

12 **DR. MONIQUE PERRON:** So and that's what
13 I mean. So back to my first statement that we were
14 trying to characterize it a little bit differently so
15 people could see more than just the bottom line. And
16 maybe that got lost in what you're trying to say. And
17 we can take that back in our characterization and
18 improve it in that way.

19 **DR. LUOPING ZHANG:** Luoping Zhang. If
20 I remember correctly actually, EPA yourself, you come
21 back to the meta-analysis, as well. And it has come
22 out positive and statistically significant, as well.

23 **DR. MONIQUE PERRON:** So we have not
24 conducted the meta-analyses for these. No.

1 DR. LUOPING ZHANG: I thought I read
2 somewhere --

3 DR. MONIQUE PERRON: No.

4 DR. LUOPING ZHANG: Just that, you
5 know, in-house analysis or --

6 DR. MONIQUE PERRON: No. We don't have
7 access to any of the data. Oh, the meta-analyses.
8 Yes.

9 DR. LUOPING ZHANG: Yeah. Meta-
10 analysis. Yeah.

11 DR. MONIQUE PERRON: And we could
12 reproduce probably using the effect estimates. Yes,
13 the meta-analyses. But I don't believe we actually
14 included them in the paper. I think that that figure
15 only shows the effect estimates. We could do it.
16 Yes. And it would probably come out exactly the same
17 as some of the ones that you've already seen. In
18 particular, if you look at Chang and Delzel. I think
19 we talked about earlier where they replace effect
20 estimates depending on the study, and they all kind of
21 come out about the same regardless of the study.

22 DR. LUOPING ZHANG: Also, I feel when
23 you are saying the few like six original studies
24 included for non-Hodgkin lymphoma analysis, so a lot

1 of them is not significant. But when we looked at the
2 data actually, quite a lot of them seems are
3 statistically significant from the data.

4 **DR. MONIQUE PERRON:** So this is Monique
5 --

6 **DR. LUOPING ZHANG:** Even from the
7 number four presentation, you know, you have
8 statistically significant data.

9 **DR. MONIQUE PERRON:** This is Monique
10 Perron. And --

11 **DR. LUOPING ZHANG:** So I just feel what
12 I was --

13 **DR. MONIQUE PERRON:** -- the majority of
14 the results were non-statistically significant. At
15 least in terms of the ever/never effect estimate.

16 **DR. ERIC JOHNSON:** I think one of the
17 areas of confusion is what are we calling cohort
18 studies? How many cohort studies are there? Because
19 from my counting, there's only one cohort study which
20 was repeated twice, I mean, in terms of looking at the
21 high-quality study. I brought it up this morning that
22 Koutros, an Agricultural Health Study, and that was a
23 cohort study analysis, not a case-controlled study.

1 When you say up there that they have
2 conflicting results between cohort and case-controlled
3 studies, if it's only one or two cohort studies, I
4 think it's best if you just state that, so people know
5 that it's only one of two cohort studies.

6 **DR. MONIQUE PERRON:** Okay.

7 **DR. ERIC JOHNSON:** Rather than saying
8 that -- say if we have a really strong body of
9 evidential cohort study and a strong body of case-
10 controlled study, then that is a conflict.

11 **DR. MONIQUE PERRON:** So this is Monique
12 Perron. In terms of this slide presentation, we were
13 focusing on the non-Hodgkin lymphoma. The other study
14 that we spoke about earlier today, Koutros, that was
15 for prostate cancer. That's why we are only talking
16 about one cohort study here. Because it was just the
17 one De Roos paper and then five case-controlled for
18 NHL.

19 **DR. JAMES MCMANAMAN:** Thank you.

20 Dr. Taioli.

21 **DR. EMANUELA TAIOLI:** So I have two
22 points. One is what other light -- you have numbers,
23 so you have a summary estimate, it's over 1. You have
24 no heterogeneity, which is that I-square and the Q-

1 square are the lowest I've seen in my life. And
2 fortunately, I've seen a lot. That's the numbers.
3 What other light you can look at? That's one
4 question. Then I have a question about the increased
5 use, your slides with the map.

6 **DR. MONIQUE PERRON:** So statistically,
7 I know what you're talking about. The I-square values
8 came out very low. Yes. But as we noted earlier
9 today, there are distinct differences that were
10 highlighted in some of those studies. Some adjusted
11 for co-exposure to pesticides. Some didn't.

12 You have the difference of cohort
13 versus case-control study. You have these things that
14 may not possibly be picked up by a heterogeneity
15 analysis. And once again, I mean, I appreciate the
16 utility of meta-analyses. But they are the most
17 robust when you have many more studies than six or
18 less.

19 **DR. EMANUELA TAIOLI:** Sorry. The other
20 point is that 1.4, 1.5 for epidemiologist is a big
21 number. It's not a small association. When there are
22 risk factors that are scored as sure risk factors with
23 values that are even less than that. Those are numbers
24 that are not irrelevant for us, in general.

1 **DR. MONIQUE PERRON:** I see what you
2 mean. Again, in terms of magnitude, I mean, there are
3 different definitions depending on the epidemiologist.
4 I mean there is no definitive --

5 **DR. JAMES MCMANAMAN:** I think we're
6 veering off into charge questions issues.

7 **DR. MONIQUE PERRON:** Anyways.

8 **DR. EMANUELA TAIOLI:** Now in terms of
9 your math, I read it on the description and on the
10 paper, as well. I'm not sure where you're getting
11 that with increased use. Because if there is
12 increased used, you would you see increased incidence.
13 But you are not really in a position of measuring
14 incidences because you don't have a cohort study that
15 shows, unless you have a follow up of that cohort.

16 In terms of increased exposed amount,
17 the cases, you would see increased exposure among the
18 controls, as well. If both increase of 10 percent,
19 let's say, the odds ratio becomes the same. You would
20 not see changes of odd ratio all the time. I'm not
21 sure where you getting with that concept. If I
22 understand it correctly, I don't think you can get to
23 that statement with the data that you have. But maybe
24 I didn't get the point you guys wanted to make.

1 **DR. MONIQUE PERRON:** Right. The point
2 that we're trying to make that following the
3 introduction of the glyphosate-tolerant crops, the use
4 pattern changed. So how people were using it
5 previously, it changed in terms of the number of
6 applications they could use, the number of acres
7 they're treating because some of the farmers switched
8 over their acreage. Also, there was a shift from
9 smaller farms to more corporate farms.

10 There was just a large increase in the
11 use of glyphosate as well as this change in use
12 pattern. We do think that individuals that were
13 already using glyphosate have increased their use
14 significantly based on that change in use pattern.

15 **DR. EMANUELA TAIOLI:** Then you would
16 see a change in the dose-response, which you haven't
17 really -- you don't have a lot of data to look at
18 that.

19 **DR. MONIQUE PERRON:** Right. We don't
20 have a lot of data. And --

21 **DR. EMANUELA TAIOLI:** Yes or no would
22 not change. The dichotomous association would not
23 change because the number of people who are exposed --

24 **DR. MONIQUE PERRON:** Yes.

1 DR. EMANUELA TAIOLI: -- are either the
2 same or increased both among cases and controls. You
3 would not see a difference, I think.

4 DR. MONIQUE PERRON: Okay.

5 DR. JAMES MCMANAMAN: That was Dr.
6 Perron and Dr. Taioli.

7 Dr. Johnson.

8 DR. ERIC JOHNSON: Yes. When I look at
9 your presentation on the genotoxicity test -- and I'm
10 not very familiar with all those studies at all. But
11 just listening to what you said, and also reading the
12 issued document, one comes away with the fact that
13 yes, there is clear evidence that the heterogeneity
14 test shows no evidence at all, or very little evidence
15 of anything at all.

16 But for some of the other ones like
17 some of the mammalian in vivo tests, I come away with
18 that there's something there, but every time there's
19 something, you're saying that well, there's something
20 deficient about it because of so, so, so, so. And
21 then you went further towards the end of your summary
22 and you said, you're ignoring certain in vitro tests
23 because they were not consistent with in vivo tests,
24 meaning in vivo tests being all negative. When,

1 really, some of them were substantial evidence of
2 something going on.

3 I just come away with the fact that you
4 are downplaying some of the genotoxicity tests,
5 especially the in vivo ones. I think it was the
6 micronuclei one or whatever. But I think they were
7 quite substantial. And every time there was something
8 positive there you said there's something wrong with
9 this study. I mean that's what I came away with. I'm
10 not very familiar with --

11 **DR. GREGORY AKERMAN:** I'm sorry.

12 Excuse me. This is Greg Akerman.

13 **DR. JAMES MCMANAMAN:** I think this may
14 be an issue again, where it's a charge question. It's
15 a limitation. It's a perfectly valid point, but there
16 is not a clarification question in that. It's more of
17 a comment than a question, and I think we should just
18 stick with the question trying to get clarification.
19 Since it's getting late in the day, and we've got more
20 to do.

21 **DR. LIANNE SHEPPARD:** Yeah.

22 **DR. JAMES MCMANAMAN:** Dr. Sheppard.

23 **DR. LIANNE SHEPPARD:** I wanted to
24 address two things in addition to my previous comment.

1 One is getting back to this expected higher effect
2 estimates. On slide five it says, "Expected higher
3 effect estimates in countries with higher use of
4 glyphosate and/or using glyphosate-tolerant crops."
5 And it seems to me, again, you're comparing the
6 relative risk or odds ratio estimates without taking
7 into account the confidence intervals. Is that a fair
8 assessment of what you meant in that statement?

9 **DR. MONIQUE PERRON:** Yes.

10 **DR. LIANNE SHEPPARD:** Okay. Thank you.

11 And the second one was, I actually spent some time
12 reading that 2010 document about use of epidemiologic
13 studies that was chaired by Steve Heeringa. And one
14 of the things that they talked about with was concern
15 about statistical bias, particularly when you have too
16 many parameters in a model. And I haven't heard and I
17 didn't read anything in the issue paper about that.
18 And I was wondering how you all thought about that
19 issue.

20 **DR. MONIQUE PERRON:** Sorry. Can you
21 repeat that question? I was writing it down but it
22 wasn't all --

23 **DR. LIANNE SHEPPARD:** Yeah. Okay. I'd
24 be happy to. It's a pretty subtle one. But it's very

1 clearly stated in the 2010 document that one of the
2 things to pay attention to is statistical bias, which
3 basically comes about when you overfit models, when
4 you put too many parameters in a model. And I just
5 didn't see any attention paid to that at all in this
6 issue paper. I was wondering how much that factored
7 into any of the work that you all did.

8 **DR. MONIQUE PERRON:** Yeah. In the
9 current evaluation, we didn't factor that part in.
10 But that could be something that could be integrated
11 later.

12 **DR. LIANNE SHEPPARD:** Okay. That's
13 probably important to then maybe try to drill down a
14 little bit more carefully in what aspects of the 2010
15 document were addressed, you know, and how. I guess
16 maybe that's work for us to do as a panel. But yeah,
17 because you say you did use that assessment or that
18 evaluation. I wanted to be clear on that.

19 **DR. JAMES MCMANAMAN:** Other questions?
20 Okay. Then I think we'll -- oh, sorry.

21 Dr. Lowit.

22 **DR. ANNA LOWIT:** Just a point of
23 clarification because I've heard a little bit of this
24 from a few people today. Both the Cancer Guidelines

1 and the 2010 draft epi framework are not recipe books
2 per se. They are guidances and frameworks to guide
3 the Agency on evaluating multiple lines of evidence.

4 Although it's fair to go through and
5 say where we may be consistent, there are areas where
6 we can improve our language or increase our
7 characterization. I wouldn't want to grade the
8 assessments on that recipe book per se that the
9 analysis is intended to be a weight of evidence across
10 multiple lines and data using as much information as
11 available, being accurate about the characterization
12 of that information.

13 It's not about following those
14 frameworks and the guidelines as if I'm going to make
15 cookies next week as if I was going to bake cookies.
16 Because if I left out the salt or I didn't put enough
17 butter, something bad would happen, right. But in the
18 case of this kind of analysis, we can't hold up these
19 as recipe books that we just check off.

20 **DR. JAMES MCMANAMAN:** Okay. Dr. Green.

21 **DR. LAURA GREEN:** Yeah. I have a
22 question for you all and possibly for you. It strikes
23 a number of us that one of the problems, which we all
24 face, is that there may be a square peg/round hole

1 issue here. By which I mean are you constrained --
2 well, let me say it in the affirmative and then ask
3 the question. You seem to be constrained to have to
4 decide at the end of the day to put glyphosate in one
5 of five categories.

6 And I'm wondering if you are allowed to
7 come up with a sixth category. And what I have in
8 mind is that as a toxicologist, I'm aware that NTP,
9 for example, a long time ago came up with a descriptor
10 called "equivocal." And they do that for a reason,
11 right. NTP, a federal agency, specifically says data
12 shall be considered equivocal under certain
13 conditions. I'm wondering do you have the freedom to
14 do that or are you constrained to, at the end of the
15 day, choose one of these five?

16 **DR. GREGORY AKERMAN:** This is Greg
17 Akerman. We have to classify it in one of those five,
18 but we can add qualifying statements to that. If it
19 happens below a certain dose or something like that,
20 we could say that. Or a certain dose range or
21 whatever, we can add a qualifying statement. But at
22 the end of the day, we have to put it one of those
23 classification descriptors.

1 **DR. ANNA LOWIT:** So this is Anna Lowit.
2 To add to that, to the extent that if the panel, let's
3 say you're using the word "equivocal," I think what
4 would be important is that you would describe the
5 science, what equivocal means from a scientific point
6 of view. At the end of the day, the cancer
7 classification is really a policy call, which is the
8 agency's purview to make those policy calls.

9 What we're looking for, from all of
10 you, is to help us improve our science analysis that
11 gets us to that policy call. But also, helps us
12 understand where the characterization across the
13 spectrum of information. If, let's say, you like the
14 word "equivocal," it would be important that you
15 explain the science that underlines that equivocal
16 call. And then we could then think about how that
17 fits into the Cancer Guidelines or something else,
18 right.

19 **DR. JAMES MCMANAMAN:** Other comments or
20 questions?

21 Yes. Dr. Parsons.

22 **DR. BARBARA PARSONS:** This may be out
23 of right field, but in terms of uncertainties, I think
24 you've said a few times today that there is no

1 evidence of bioaccumulation. And I was just wondering
2 what the nature and strength of the evidence was. You
3 know, how long were animals exposed to understand
4 whether or not glyphosate bioaccumulated?

5 **DR. MONIQUE PERRON:** This is Monique
6 Perron. That information is primarily based on the
7 metabolism studies. And as Anwar walked through
8 earlier, we have over 20 studies available there. And
9 what they do is they evaluate the tissues in the
10 individual animals to see how much is ending up in the
11 different tissues. And in the case of glyphosate,
12 predominantly almost all of it ends up in the urine
13 and excreta and ends up being sent out as parent
14 compound. That's why you'll often see us say that
15 it's not bioaccumulated or biotransformed, as well.

16 **DR. ANWAR DUNBAR:** This is Anwar
17 Dunbar. Also, in some of those designs, you're also
18 looking at repeated exposures over 14 days.

19 **DR. BARBARA PARSONS:** So 14 days is the
20 extent to which that was studied. Okay. Thank you.

21 **DR. JAMES MCMANAMAN:** Okay. We move on
22 to the next -- was that your final one? Or it looks
23 like you had another one.

1 **DR. MONIQUE PERRON:** That was the final
2 one.

3 **DR. JAMES MCMANAMAN:** That was it?

4 **DR. MONIQUE PERRON:** That was it. I'm
5 not sure where the other one on the agenda came from.
6 (Applause)

7 **DR. MONIQUE PERRON:** We just wanted to
8 make sure you stayed on schedule.

9 **DR. JAMES MCMANAMAN:** Yeah. All right.
10 In order to save time, unless anybody needs a bile
11 break, I think we'll move on to the public commenters.

12 I have it as Daniele Court-Marques.
13 Welcome, Daniele.

14 For other public commenters who are
15 here -- since we have a full load of public commenters
16 tomorrow. You know your roughly scheduled time so try
17 to sit close so that there is not so much time going
18 back and forth between your seat and the desk up here.

19 We'll hear about the European view.

20 **DR. LARS NIEMANN:** Lars Niemann. Since
21 Daniele will present the European view, I will try to
22 provide the German view. Perhaps to avoid
23 redundancies and to speed up the process, sir, if you
24 don't mind, I would suggest that we will give our

1 presentations one after the other. And then answer
2 the questions together. Is that possible?

3 **DR. JAMES MCMANAMAN:** That sounds
4 wonderful.

5 **DR. LARS NIEMANN:** Fine. Thanks.

6 **DR. JAMES MCMANAMAN:** Is there anyone
7 here with a Brexit point of view?

8 **MR. STEVEN KNOTT:** Can I just ask a
9 clarification very quickly? We received a new thumb
10 drive but that is not a different presentation than
11 what was emailed previously, correct?

12 **MS. DANIELE COURT-MARQUES:** Sorry. I
13 didn't hear well.

14 **MR. STEVEN KNOTT:** The presentations
15 that were emailed previously is the current --

16 **MS. DANIELE COURT-MARQUES:** Yes.

17 **MR. STEVEN KNOTT:** -- presentation,
18 right?

19 **MS. DANIELE COURT-MARQUES:** Yes.

20 **MR. STEVEN KNOTT:** Okay. Thank you.

21 **MS. DANIELE COURT-MARQUES:** Yes. That
22 I sent to you end of last week, yes?

23 **MR. STEVEN KNOTT:** Great.

24 **MS. DANIELE COURT-MARQUES:** Yes.

1 DR. JAMES MCMANAMAN: All right.

2 Daniele, do you want to begin?

3 MS. DANIELE COURT-MARQUES: Okay.

4 Thank you very much, Mr. Chairman.

5 I'm Daniele Court-Marques, and I am a
6 toxicologist working in the Pesticide Unit in EFSA,
7 the European Food Safety Authority. So --

8 DR. JAMES MCMANAMAN: Daniele, put your
9 microphone a little closer. There you go.

10 MS. DANIELE COURT-MARQUES: Okay. So
11 before presenting the conclusions of the Pesticides
12 Peer Review in Europe, I would like to shortly explain
13 the pesticide peer review concept and how the
14 glyphosate assessment was conducted. I will shortly -
15 - oh, sorry. Yeah. I would like to shortly go
16 through the glyphosate toxicokinetics and
17 toxicodynamics before then going into a bit more
18 details into the genotoxicity assessment,
19 carcinogenicity data that includes animal data and
20 epidemiology.

21 How was the peer review conducted in
22 Europe? I think it's an important point to clarify
23 that one basis of the legislation in Europe is a
24 complete separation between risk assessment and risk

1 management. And that the risk assessment is here on
2 the left-hand side. The concept is that industry or
3 applicants provide a dossier to a designated
4 Rapporteur Member State, in this case, Germany here on
5 my left side.

6 And then the Rapporteur Member States
7 produce an assessment report that is then sent to EFSA
8 and all other Member States who then conduct a peer
9 review. Meaning that there's a commenting period. Or
10 maybe we can just go to the end and it results in the
11 EFSA conclusion that includes a scientific assessment
12 after the peer review, a list of endpoint, in data
13 gaps and areas of concern.

14 And then this conclusion is sent to
15 policy managers who take the decision of the approval
16 of the active substance on the European market. Then
17 it's the responsibility of each Member State to assess
18 each formulation by itself. That will be authorized
19 in their own territory based on this approved active
20 substance.

21 Regarding the timelines of glyphosate
22 peer review, so it begins in the 2012, 2013 when the
23 Rapporteur Member States produced the first assessment
24 of what is called a renewal assessment report in this

1 case, because glyphosate is not a new active substance
2 in the market. And then it was sent to EFSA late
3 2013. Then the peer review itself began when Member
4 States were called for comments on this renewal
5 assessment report. And the public consultation was
6 launched on the renewal assessment report.

7 Then early 2015, as a result of this
8 commenting period, the Rapporteur Member States
9 produced a first revision of the renewal assessment
10 report. And also, the applicants were given the
11 opportunity to give more information when there were
12 some doubts about or need for further information.
13 And then the experts' consultation was conducted in
14 different areas for glyphosate, meaning mammalian
15 toxicology residues, environmental fate, and
16 ecotoxicology.

17 The outcome of this expert consultation
18 was taken into consideration by the Rapporteur Member
19 States who produced a second revision of the renewal
20 assessment report. At that stage, it was when we were
21 made aware by the Lancet publication of the conclusion
22 of the IARC assessment of carcinogenicity. And on
23 this basis, EFSA received a mandate from the European
24 Commission to review the IARC on carcinogenicity.

1 And therefore, we then waited for the
2 IARC Monograph to be published, which happened in
3 August. And the rapporteur Member States produced an
4 addendum to the renewal assessment report so that it
5 could be, again, considered by all Member States. And
6 then so in August and September, there was a new
7 expert consultation that was dedicated to
8 carcinogenicity. That in October 2015, there was a
9 final consultation with Member States and the adoption
10 of the EFSA conclusion.

11 To make hopefully a bit clearer what
12 are the documents that were produced during the peer
13 review and the basis for the assessment. First of
14 all, the applicants sent the dossiers that consist of
15 the mandatory, regulatory studies according to the
16 data requirements that are here meant by regulation of
17 2011. Then the applicants are also required to do a
18 search for the scientific peer review literature
19 according also to EFSA Guidelines to comment on how to
20 perform such search and eventually, other evaluations.

21 Then we go to the documents here on the
22 right-hand side that consist of the Rapporteur Member
23 State evaluation and respective updates that are

1 highlighted in different colors depending on the
2 different updates.

3 And then the peer review report of
4 glyphosate that consists of all the comments that were
5 received during the public consultation and by Member
6 States. The response to these comments or how they
7 were handled. The meeting reports with Member States
8 experts and Member States' views. And then what
9 actually is the EFSA conclusions. That is a short
10 summary finally on the scientific assessment and
11 highlights the critical concerns, data gaps, and
12 validated agreed endpoints.

13 Glyphosate, as we already heard today,
14 has an exceptionally rich dossier. And only in the
15 mammalian toxicology section more than 700 studies and
16 reference were considered in the renewal assessment
17 report revised twice, again, by the Rapporteur Member
18 States.

19 This includes 20 long-term
20 carcinogenicity studies in rats and mice, more than
21 100 genotoxicity studies, and around 30
22 epidemiological studies. To note that when the IARC
23 Monograph was published, the Rapporteur Member States

1 still added a few additional studies that were
2 mentioned in the IARC Monograph.

3 I like to give a very short overview of
4 the toxicokinetics of glyphosate. That was found to
5 be rapidly but fully absorbed. It's considered that
6 20 percent would be systematically available. And
7 this is because we also considered worst case. For
8 us, its worst case is 20 percent systematically
9 available. Then it's very poorly metabolized, very
10 widely distributed. However, a certain affinity for
11 bones was observed. Then it was mostly eliminated
12 unchanged via feces with the absorbed dose recovered
13 in urine. And again, no evidence for accumulation was
14 observed.

15 An overview of the toxicodynamics. We
16 all know that glyphosate show a very low acute
17 toxicity whatever the route of exposure. It was
18 severely irritant to eyes and mucosa in the acid form.
19 And interestingly, glyphosate showed a very
20 inconsistent pattern of toxicity over the overall
21 package. However, intestinal tracts, including
22 salivary glands, were considered as target organs of
23 glyphosate, which can be expected considering the
24 toxicokinetics and acid properties of the substance.

1 Also, I think it's interesting to show
2 that the overall short-term NOAEL was between 300 and
3 500 mg/kg/day depending on the specie considered. And
4 the overall long-term NOAEL was 100 mg/kg/day in rats
5 and 150 in mice. This is considering the overall
6 values considering all studies together.

7 However, the most critical NOAEL came
8 from the developmental toxicity studies in rabbits
9 where post-implantation losses, reduced fetal weight,
10 and ossification were observed at (inaudible) doses.
11 This NOAEL of 50 mg/kg weight led the overall risk
12 assessment.

13 Going now to what interests us today
14 regarding the genotoxicity assessment would like to go
15 through the in vitro studies and the in vivo studies
16 to get to a weight of evidence. Regarding the
17 genotoxicity assessment as for other endpoints of the
18 dossier, we had high numbers of studies in the
19 dossier, either from the industry or from the open
20 literature. In each case, they were assessed for
21 their acceptability and reliability.

22 And I think this is important to
23 mention that studies conducted with the formulations
24 were excluded from this analysis to avoid bias derived

1 from the toxicity of co-formulants. It is also
2 essential that well-defined test material is known to
3 avoid bias from potentially genotoxic impurities.

4 And the study design was also carefully
5 checked to be fit for purpose for the genotoxicity
6 assessment such as the use of concurrent negative and
7 positive controls or pre-test determination of
8 cytotoxicity or toxicity to target cells and as well
9 as whether the concentration and dose levels were
10 appropriate. And overall, it was also considered that
11 mammalian systems are more representative for human
12 health.

13 Regarding gene mutation, as was already
14 mentioned today, either bacterial assays or gene
15 mutation test in mammalian cells were consistently
16 negative results. Even considering studies that were
17 less acceptable, overall, they were all negative.

18 Regarding chromosome aberration, three
19 fully acceptable studies gave also negative results up
20 to dose level of 1,250 mg/ml. However, in contrast,
21 two non-guideline studies at much lower concentrations
22 gave positive results.

23 Going now to indicator tests, they are
24 considered indicators because they are not designed to

1 detect direct mutagenicity, but rather, primary DNA
2 damage.

3 Mixed outcome was seen in these tests
4 such as negative in vitro UDS tests, unscheduled DNA
5 synthesis tests, positive sister chromatid exchange
6 tests that are given usually of a low weight into the
7 overall genotoxicity assessment. And then positive
8 results for induction of DNA strand breaks or in vitro
9 or also in vivo with high intraperitoneal dosing above
10 the intraperitoneal lethal dose 50 or even repeated
11 dosing also some methodological deficiencies were
12 observed.

13 In vivo studies are usually used to
14 clarify and possibly contravene positive outcomes that
15 are observed in vitro. As long as the same endpoint
16 is considered and tissue exposure has been
17 demonstrated. Regarding in vivo studies, seven in
18 eight fully acceptable micronucleus or chromosome
19 aberration studies in rats and mice treated by the
20 overall dose, up to twice 5,000 mg/kg weight, gave
21 consistently negative results.

22 Also, six further studies conducted by
23 the intraperitoneal route at high-dose levels above
24 the maximum tolerated dose also gave negative results,

1 except two studies where methodological deficiencies
2 were observed. And again, as was already mentioned,
3 there were two negative germ cells mutagenicity tests,
4 one in rats and one in mice.

5 Regarding the weight of evidence for
6 genotoxicity, in summary, we found one weak positive
7 response in eight studies using the oral route. This
8 weak positive response was observed at high-dose level
9 in females only and was a high standard deviation.
10 Also, two in six intraperitoneal studies gave positive
11 response at doses exceeding the intraperitoneal LD50.

12 And in studies presenting
13 methodological drawbacks such as low number of animals
14 only one dose level used. It was unclear when the
15 controls were sacrificed questioning the statistical
16 comparison. Also, independent coding of the slide was
17 not reported.

18 In the second study, bigger major
19 drawback was seen as the scoring for total erythrocyte
20 was done instead of immature polychromatic erythrocyte
21 for micronucleus which we found not appropriate. Then
22 the DNA damage observed at high or toxic dose was
23 considered to be due rather to cytotoxicity rather
24 than DNA interaction. So overall, considering all

1 this data, glyphosate is considered unlikely to be
2 genotoxic.

3 Going now to the animal data on
4 carcinogenicity, I would like also first, before going
5 into the detailed assessment of carcinogenicity, to
6 clarify, in general, how the carcinogenicity
7 assessment is performed. Also, because we have
8 studies from different quality and this has all to be
9 weighted. The design and conduct of the report of the
10 study is very important to define which studies were
11 acceptable or considered only supplementary as well as
12 to have a well-defined test material.

13 Then regarding the interpretation of
14 the study results, we take into consideration the
15 dose-response curve, the weight of the trend analysis
16 versus pair-wise comparison for possibly adjustment to
17 other variables. Very importantly that the
18 appropriate historical data are considered, such as
19 they have to be of the same strain, similar performing
20 laboratory and contemporaneous to the study itself.

21 We considered usually around five years
22 around the study conduct. And then also consideration
23 of a plausible mode of action where there was reduced
24 latency or progression to malignancy of the tumors.

1 Also, an important factor is whether there was
2 concomitant toxicity, whether the maximum tolerated
3 dose was achieved.

4 Overall, in long-term rat studies, we
5 had 12 studies. Six of these studies were considered
6 acceptable. Then two studies were considered
7 supplementary. One because it was conducted with too
8 low dose levels, no toxicity at all was observed in
9 this Lankas 1981 study. And the other study was of
10 too short duration. It was actually a toxicity study,
11 not really a carcinogenicity study.

12 Then four studies were considered
13 inadequate for assessment of carcinogenicity potential
14 of glyphosate. Also, because these studies were
15 performed with too low dose levels, there were study
16 design and reporting deficiencies, sometimes undefined
17 test material, and/or a low number of animals
18 undergoing histopathology or the use of formulation.
19 Or one study that was considered to have a protocol
20 that was inadequate for a carcinogenicity study.

21 Going into more details on the tumors
22 that observed in the rats. From six acceptable
23 studies, we found that five did not present treatment-
24 related increase of tumor incidents. However, here we

1 can see that in the Lankas studies, what was
2 considered a supplementary study, there was an
3 increased incidence of pancreatic islet cell adenomas
4 in a pair-wise comparison at the low-dose level.
5 Also, testicular interstitial cell tumors were
6 increased also in a pair-wise comparison at the high-
7 dose level.

8 Then in the oldest of the acceptable
9 studies, which is the Stout & Ruecker from 1990, there
10 was, again, pancreatic islet cell adenomas found also
11 in a statistically significant pair-wise comparison at
12 the low and high-dose levels. And there were two
13 trends of hepatocellular adenomas in males and Thyroid
14 C-cell adenomas in females at the high-dose levels.

15 Just to mention that also this older
16 study regarding the acceptable study. There was a low
17 survival overall in all groups meaning that mortality
18 was higher than 50 percent at the end of the study,
19 which for us give a lower weight to this study in
20 comparison to others.

21 What was the weight of evidence
22 regarding these tumors? Again, the tumors were
23 limited to a supplementary study and the oldest study
24 in six acceptable studies. Regarding the pancreatic

1 islet cell adenomas in males that were found in two
2 studies, one of which is supplementary, there was no
3 dose-response in a statistical significant increase in
4 a pair-wise comparison.

5 Regarding the testicular interstitial
6 cell tumors, they were found in these supplementary
7 studies. It was the highest dose level of the study
8 but which was still a low-dose level of 13 mg/kg/day,
9 and it was not reproduced in six long-term studies
10 using much higher dose levels.

11 Since the statistically significant
12 trends for hepatocellular adenomas in males and
13 Thyroid C-cell adenomas in females corresponded to
14 marginal trends in benign tumors limited to one sex
15 and not reproduced among five long-term studies. And
16 they were not confirmed by a statistical analysis in a
17 pair-wise comparison.

18 The pancreas, testis, and the thyroid
19 were not target organs of glyphosate. And the liver
20 toxicity was quite limited. We didn't find any pre-
21 neoplastic lesions and no progression to malignancy.
22 On this basis, the peer review concluded that there
23 was no evidence for a carcinogenic effect in the rats
24 treated with glyphosate.

1 Going now to the mouse studies that
2 were much more complicated for us and which gave much
3 more discussion in the peer review. We had eight
4 studies in mice. Four of these studies were
5 considered acceptable. One study was considered of
6 doubted reliability after further consideration by the
7 peer review. And three studies were considered
8 inadequate.

9 The studies were inadequate because,
10 for instance, they used low number of animals, only
11 two dose levels were used, there were sometimes a low
12 number or examinations. Also, the test substance was
13 not well defined or even there was a use of
14 formulation in the case of the George 2010 study.

15 Regarding the study of doubted
16 reliability, it was after checking with the U.S. EPA,
17 actually, that we found that they considered that
18 study was bias with a viral infection. This was not
19 very clear from the study report, so it remains in
20 this class, if you like, of doubted reliability.
21 However, it's true that we found that the animals were
22 translocated in the middle of the study from one room
23 to another and that the initial room was fumigated so,
24 really, this may show that something happened with

1 these animals and they were then translocated back to
2 the initial room to continue the study.

3 Malignant lymphomas were actually, the
4 tumors that gave most discussion in the peer review.
5 Of note is that in the oldest study of Knezevich &
6 Hogan malignant lymphomas were not mentioned.
7 However, in this case, we report some lymphoreticular
8 neoplasms that we found should correspond to the
9 current terminology of malignant lymphomas.

10 Of note is that malignant lymphoma is
11 one of the most common neoplasms in CD-1 mice, females
12 being more prone to two more types than males. In the
13 first two studies, there were no increased incidents
14 of malignant lymphomas, either in males or females.
15 Then an increased incidence of malignant lymphomas was
16 statistically significant in a pair-wise comparison in
17 this study of doubtful reliability.

18 Also, above the historical control data
19 for these studies. Which in this case, were another
20 strain of mice, the Swiss albino mice. And then we
21 had two trends that were reported in males for the
22 Sugimoto and Wood study at the high-dose level.

23 What was the weight of evidence in
24 conclusion of the expert judgment in the peer review?

1 Is that considering that malignant lymphomas are one
2 of the most common neoplasms in CD-1 mice? And that
3 is one instance statistical significance, according to
4 a pairwise comparison, and outside historical control
5 data, was recorded in a high-dose level, and in a
6 study probably affected with virus.

7 And considering the inconsistency in
8 results among five studies in particular, when
9 comparing similar dose levels, this finding was not
10 affecting the animal survival and there was no change
11 in tumor latency. Overall, the incidences are within
12 historical control data even at the highest dose
13 tested level. Also, one study, lack of valid
14 historical control data.

15 Sorry. Maybe I'll go back just in an
16 instant, because I didn't mention that in the Sugimoto
17 study the highest incidence that was found of 12
18 percent was within the historical control data,
19 although it was above the average of the historical
20 control data.

21 Then in the Wood study below, although
22 the incidences were lower, there was no valid
23 historical control data. That's why we, again,
24 concluded that the overall incidences were always in

1 the historical control data, even at the highest dose
2 tested. Although acknowledging that in one study we
3 lack this valid historical control data.

4 But again, there was a minority view in
5 the peer review that considered that based on these
6 findings glyphosate may require classification as
7 carcinogenicity category 2. That would mean suspected
8 of causing cancer, according to the GHS classification
9 criteria. But the majority of the experts consider
10 that there was insufficient evidence to classify
11 glyphosate as a carcinogen based on this data.

12 Now reviewing the renal tubular tumors
13 in mice. First, it was found difficult to
14 differentiate between adenomas and carcinomas because
15 also there was a review of the same data showing
16 different outcomes. It was considered certainly
17 appropriate to consider adenomas and carcinomas
18 combined in this case.

19 Renal tumors are rare in mice, at least
20 in CD-1 mice, as is shown here in this slide.
21 However, the data also shows that renal tumors
22 spontaneously occur in control animals as low
23 incidences. In this case, again, there were
24 statistically significant trends that were observed in

1 two studies at the high-dose level. In this case, the
2 high-dose level was above 4,000 mg/kg/day where the
3 maximum tolerated dose was achieved or even exceeded.

4 At the end of the presentation, I just
5 left for you a background document, an overview of the
6 toxicity data on these studies where the description
7 of the toxicity occurring at this high-dose level is
8 described. In this case, we considered that we could
9 not also exclude that the carcinogenic effect could be
10 biased by the toxicity data, as well. In none of
11 these studies there was a statistically significant
12 increase of tumors according to a pair-wise
13 comparison.

14 The weight of evidence for renal tumors
15 in mice was that they were mostly observed above 4,000
16 mg/kg weight which is above the maximum tolerated dose
17 and at the same incidences as observed in controls in
18 other studies. As I just said, there was no
19 statistical significance in a pair-wise comparison.
20 That allows to adjust for other variables in the study
21 such as happen, for example, in one study where we
22 found higher survival of the high-dose group.

23 The adenomas were not associated with
24 preneoplastic changes, as we would expect tubular

1 hypoplasia if it would be treatment related. Of note,
2 there was still some chronic interstitial nephritis
3 observed at the high dose in this study. However, it
4 was considered natural event for tubular neoplasms.

5 Now going to the hemangiosarcomas.

6 Here I made a differentiation between A, B, and C
7 because hemangiosarcomas could be found in different
8 organs. The ones that interest us is in the Atkinson
9 and Sugimoto studies where hemangiosarcomas were
10 observed in the vascular system. And here also, there
11 were two statistically significant trends in these two
12 studies. Just to note that in the first studies,
13 Knezevich & Hogan, hemangiosarcomas happened in the
14 spleen without a dose-response.

15 And in the Wood study, these
16 hemangiosarcomas were observed in liver and/or
17 kidneys. Also, they occurred without a dose-response.
18 And now also left the Kumar study, as it was again
19 found as of doubted reliability.

20 Here again, is the highest incidence
21 occurred in the Atkinson study where at an incidence
22 it was within historical control data. While in the
23 Sugimoto study where no historical control data were
24 available, the incidences at a much higher dose level

1 was still lower than the one within historical control
2 data.

3 What was the conclusion of the weight
4 of evidence and expert judgment regarding these
5 hemangiosarcomas? They were considered not
6 toxicologically relevant because they were observed
7 the highest incidences were within historical control
8 data. And the highest dose level without historical
9 control data showed lower incidences. Then there was
10 no statistical significance in the pair-wise
11 comparison. Also, circumstantial, there was no blood
12 and/or endothelial toxicity observed with glyphosate.

13 Considering this data, the majority of
14 the experts considered that glyphosate was unlikely to
15 pose a carcinogenic hazard in both rats and mice.

16 Regarding the epidemiological studies,
17 overall, we had more than 30 epidemiological studies
18 that were considered together between the cohort and
19 case-control studies. As was today, again, already
20 mentioned, the cohort studies, that is currently the
21 largest study available, the Agricultural Health Study
22 did not show any -- glyphosate did not cause or
23 increase a risk of all cancers, although the
24 interpretation of multiple myelomas is limited.

1 And then in contrast, a reduced number
2 of case-control studies concluded elevated odd ratios
3 for an association between glyphosate and non-Hodgkin
4 lymphomas. The weight of evidence that was concluded
5 by the peer review, it's considering the lack of
6 consistency in the results with a few cases and the
7 limited increases in odd ratios and/or odd ratios not
8 statistically significant, considering, also, the lack
9 of positive association in the cohort studies and many
10 of the limitations inherent to the epidemiological
11 studies, such as the confounders, including co-
12 formulants or multiple exposure to different
13 pesticides and other risk factors, the exposures that
14 is difficult to measure and the classification of
15 cancer that it may change over time.

16 It was concluded that there is very
17 limited of evidence of an association between
18 glyphosate-based formulations and non-Hodgkin
19 lymphomas. Although, evidence was inconclusive for a
20 causal link or otherwise convincing associative
21 relationship between glyphosate and cancer in human
22 studies when we consider, also, the lack of response
23 in animal studies. This means that, of course, it

1 could not be excluded, that there could be an
2 association but overall it was very limited.

3 This leads us to the conclusion of that
4 hazard characterization of glyphosate. Said
5 glyphosate is unlikely to be genotoxic, neurotoxic, or
6 toxic for reproduction or development and is unlikely
7 to pose a carcinogenic hazard to humans.

8 And that the reference values for
9 acceptable daily intake, the acute reference dose, and
10 the acceptable operator exposure levels were all based
11 on the developmental toxicity studies in rabbits. As
12 I already told you, with an over NOAEL of 50 mg/kg/day
13 and using an uncertainty factor of 100.

14 Just to mention that EFSA recommended
15 still that the toxicity of each formulation should be
16 taken with particular care, even the genotoxicity
17 potential to be further considered and addressed by
18 Member States because it was found that formulations
19 and also due to one formulant that is known to be
20 often used in glyphosate formulation was of higher
21 toxicity. Either the formulation or this co-formulant
22 were found to be of higher toxicity than glyphosate
23 itself.

1 And finally, I would like to just
2 mention that was is the current E.U. status of
3 glyphosate. The Standing Committee on Plants,
4 Animals, and Food and Feed, that is the body deciding
5 on the approval of glyphosate, in June 2016 decided to
6 postpone its decision regarding the renewal of
7 approval of glyphosate awaiting the conclusion of the
8 Risk Assessment Committee at the European Chemical
9 Agency who is responsible for the harmonize
10 classification and labeling of chemicals in Europe.

11 So therefore, the current approval was
12 extended until December next year to see what will be
13 the final decision of the ECHA, the chemical agency.

14 And with that, I thank you very much
15 for your attention.

16 **DR. JAMES MCMANAMAN:** Thank you. We're
17 going to move into the next presenter, Lars.

18 **DR. LARS NIEMANN:** Okay. Thank you
19 very much. Good afternoon. My name is Lars Niemann.
20 I'm working in the German Federal Institute for Risk
21 Assessment as a veterinarian and toxicologist. And
22 I'm very glad about the opportunity to provide you the
23 German view on the carcinogenicity -- or our
24 Institute's view of the carcinogenicity of glyphosate

1 here. Here you can see what I'm planning to present.
2 And I promise that I will try to keep it short or most
3 to keep short, but I will go into the details of some
4 points here only.

5 The next picture might be familiar to
6 you because you've just seen a very similar one. Here
7 are the two processes described or depicted which
8 glyphosate is just undergoing in the European Union.
9 In the middle or in the lower half you can see the way
10 of the intended for the approval of glyphosate as an
11 active ingredient in plant protection product in the
12 E.U.

13 For this process, Germany was the
14 Rapporteur Member State and produced a very
15 comprehensive review report to which our Institute
16 contributed the toxicological part, the residue part,
17 and the part on residue analytics. And this report
18 was then heavily discussed in the E.U. Underwent
19 public consultation, was revised and modified, and the
20 results have really been just described and reported
21 to you by Daniele. And the process is now more or
22 less finalized. But the final decision is pending, as
23 you have just heard.

1 In the upper part of this slide, you
2 can see another process depicted. And this is the
3 evaluation of glyphosate for classification and
4 labeling for which the European's Chemicals Agency is
5 responsible, the ECHA. And the decision to provide a
6 dossier on classification and labeling off glyphosate
7 has been taken in Germany independent from any EFSA or
8 European decision.

9 It was a political decision in Germany
10 just to do that. That means the Member State, here
11 Germany, has to provide a so-called Registry of
12 Intentions and then to provide such a dossier. I have
13 it here with me. And this dossier is about all the
14 toxicological endpoints and include also environmental
15 hazards.

16 This process has been initiated this
17 year, yeah, in spring. The dossier underwent public
18 consultation, as well. And the decision of the ECHA
19 is pending, and I don't dare to predict anything about
20 ECHA's decision with regard to the classification.
21 And the point of most concern is, again,
22 carcinogenicity.

23 You see, again, our contribution to the
24 two processes. First, for the intended renewal of the

1 approval of glyphosate. And this process is mainly on
2 risk assessment. In contrast, the process for which
3 the ECHA is responsible is hazard assessment. And
4 human exposure is not taken into consideration here
5 but only the properties.

6 This second process, there's one for
7 classification and labeling, has a strong impact on
8 the first one because if a substance is classified as
9 a carcinogenicity, mutagenicity, or reproductive
10 toxicity, including developmental toxicity compound of
11 the categories 1-A or 1-B for the so-called CMR
12 properties, it will be, in principle, not feasible to
13 use this compound in plant protection in Germany and
14 in Europe.

15 And even if the compound would be
16 classified as a carcinogen of the category 2, there
17 might be strong restriction on its use, in particular
18 with regard to who will be allowed to apply such a
19 compound in plant protection products. There's a
20 strong impact of the decision of the ECHA. And that's
21 why the final decision on approval in Europe has been
22 postponed.

23 So now I will focus on carcinogenicity.
24 Usually, as you know, epidemiological studies may

1 provide evidence that the compound was carcinogenic.
2 We can take evidence of carcinogenicity from long-term
3 studies in rodents. The genotoxicity studies may give
4 a hint or more than a hint.

5 And we should also take into account
6 mechanistic considerations. But I think only if there
7 are positive findings, either in the genotoxicity
8 studies or in the carcinogenicity studies or in the
9 epidemiological studies that should be somehow
10 explained. The evidence of a certain mechanism alone
11 without hard facts from all the other studies would be
12 not sufficient for classification and labeling.

13 Okay. With regard to the epidemiology,
14 we have seen no association between an exposure to
15 glyphosate or better to say glyphosate-containing
16 herbicides and a number of different cancers which are
17 listed here. Even, too, I have my doubts whether this
18 is, in fact, comprehensive. But we have heard very
19 comprehensive evaluations on the epidemiology before
20 today.

21 Of course, we had also our biggest
22 concern was regard to non-Hodgkin lymphoma. And we
23 have one big cohort study that is the Agricultural
24 Health Study. And there are different publications in

1 which part of the Agricultural Health Studies have
2 been reported. But with regard to NHL, the De Roos
3 publication is the most important.

4 And as we have seen before, the outcome
5 of the Agricultural Health Study seems partly
6 contradictory to the case-controlled studies or part
7 of the case-controlled studies. However, even in
8 case-controlled studies which provided odds ratios in
9 the mean higher than 1, the magnitude of these
10 increases is quite low and the confidence intervals
11 are quite wide.

12 And according to the meta-analysis I
13 wouldn't say that it's convincing evidence of a real
14 association between glyphosate exposure and non-
15 Hodgkin lymphoma. And as you have discussed broadly
16 today, there are many general problems with the
17 interpretation of epidemiological studies and they all
18 apply for the possible association between glyphosate
19 and NHL too.

20 And to me, the strongest problems have
21 to do with multiple exposure to different pesticides,
22 not only to glyphosate-containing herbicides and to
23 the exposure in general. In principle, we don't know
24 the actual exposure of the people who have been

1 enrolled for the epidemiological studies. That's the
2 main problem here.

3 When we go to the animal studies, we
4 have first to take into account that the toxicological
5 database for glyphosate is extremely huge here. I
6 think it's larger than for any other pesticide. We
7 had to evaluate glyphosate for the first time for the
8 E.U. in the 1990s. And even at that time we had a
9 large number of studies on all of the toxicological
10 endpoints. Even, too, in the 1990s, at least in
11 Europe, nobody cared, really, about glyphosate. But
12 there were many applicants already at that time.

13 And now for the renewal of glyphosate
14 in the E.U. there are much more applicants than there
15 were before. And that's the reason for submitting
16 more studies. Actually, we were surprised when we
17 got, in 2012, more than 150 new toxicological studies,
18 including many long-term studies, repro studies,
19 developmental studies, and so on. We didn't expect
20 it, actually.

21 And what we had to do was first to
22 reevaluate all the old studies and reevaluation meant
23 here in this context that we had to downgrade many of
24 them from acceptable to not acceptable or at least to

1 supplementary. And we had to evaluate all the new
2 studies.

3 If I say "new studies" that not
4 necessarily means that they are actually new, let's
5 say produced after 2000. Some of these studies were
6 from the 1990s but submitted now by companies which
7 have not been the applicants in Europe for the first
8 evaluation. Perhaps that explains the great number
9 also of new studies.

10 And then we had the huge amount of
11 published information. When you see here more than
12 900 publications, that means at the beginning of our
13 process, so in 2012. Meanwhile, we have much more,
14 and it's really difficult to define a deadline for
15 publications to be taken into account.

16 Okay. Only to give you an idea what we
17 have here, I've selected a few endpoints here, only.
18 And in the third column you can see what is normally
19 required according to European legislation for the
20 different endpoints and what we normally have for
21 other pesticides, other than glyphosate. And in the
22 fourth column you can see the rabbit studies that we
23 had available for the different endpoints.

1 And this is only a selection in
2 principle. I could do the same for eye irritation or
3 developmental toxicity and the rats and all the
4 genotoxic endpoints and so on. Yeah.

5 Okay. With regard to carcinogenicity,
6 we have direct studies and the studies in the mouse
7 and sent to EFSA and to Daniele. You've got all of
8 the incidences, for all the tumor types, I will speak
9 about now in the two species. With regard to the
10 long-term studies in rats, I've compiled here seven
11 studies.

12 On the former slide, you can see six
13 valid studies. I have included study eight. It's the
14 Lankas study from 1981. According to today's view,
15 the study is not acceptable anymore because the
16 highest dose level of about 31 mg/kg was much, much
17 too low for glyphosate. In principle, we could not
18 take the study into account. However, because it was
19 always discussed with regards to carcinogenicity, I've
20 included it here in this slide.

21 You will see there was evidence of
22 carcinogenicity in the two oldest of the studies here,
23 Lankas and Stout & Ruecker. We have the same organ,
24 the pancreas, even in two studies. However, in the

1 Lankas study there was a higher incidence of
2 pancreatic islet cell adenoma only at the lowest dose
3 level, so clearly no dose-response.

4 And with regard to the study by Stout &
5 Ruecker, there was no dose-response because the
6 incidences were nearly the same in all treated groups.
7 Also, it was higher in all treated groups than in the
8 control. And the pancreatic tumors and increase in
9 pancreatic tumors was not seen in any other study.

10 And that leads me to, I think, a
11 general consideration here. If you have that many
12 studies on all the toxicological endpoints, you cannot
13 rely only on a so-called "key study." If you have
14 that many valid studies, you have to put them
15 together. And this is for also the weight of
16 evidence. And so if you have higher tumor incidences
17 as compared to the concurrent control in the same
18 study, but you don't see an increase in any other of
19 the studies at comparable or even at higher dose
20 levels, you have seriously to put the one isolated
21 finding into question.

22 And this is what happened with the
23 liver tumors in the Stout & Ruecker and also with the
24 thyroid studies, also in the same study by Stout &

1 Ruecker. And you have seen the incidences in
2 Daniele's presentation. And the increase in the
3 testicular tumors in the Lankas study, okay, it was at
4 the highest dose level. It was statistically
5 significant, but at the dose level that was the
6 highest in that study but, as compared to all the
7 other studies, was extremely low.

8 That's why we came to the conclusion
9 that the weight of evidence suggests that the findings
10 in the rats were not treatment related. The mouse is,
11 of course, of much higher concern. And that's why
12 under much more scientific and non-scientific debate.

13 And before I go into the detail of the
14 mouse studies, I would like to tell you one thing. In
15 the beginning, I told you that we provided the draft
16 for the European Renewal Assessment Report and that
17 this draft was then discussed, modified, revised
18 during the discussions with the Member States and with
19 EFSA. And now you can find the final European report.
20 Everything is published. It's not that reader
21 friendly because it's more than 6,000 pages, yeah, but
22 all the studies are described there in detail, at
23 least.

1 Okay. We had to perform a second task.
2 The second task we got after the IARC evaluation was
3 released in March 2015. And the task was to provide a
4 draft addendum under the IARC Monograph. We had to
5 reevaluate all the cancer studies. And after it was
6 clear that EFSA got the mandate to provide an
7 independent evaluation of the IARC Monograph and that
8 Germany was responsible to provide the first draft for
9 that, on the basis, then it was decided in our
10 Institute immediately that other people should do that
11 than those who did the first evaluation.

12 I was involved in the evaluation of all
13 the long-term studies for the first round. But I
14 didn't take part, for example, in the reevaluation
15 after the IARC Monograph was released. This was done
16 by other people. Also by people who were, I think,
17 more familiar with all the statistical issues.

18 And there I would like to explain you
19 our statistical approach. In the first round, we more
20 or less, relied on the statistics that was provided
21 with the original reports. We, of course, checked
22 whether the statistical method used in the original
23 report was appropriate, was in line with the OECD

1 Guideline requirements for the time when the study was
2 performed.

3 But after the IARC evaluation had been
4 released, all of the statistical evaluations were
5 repeated. And now we have performed also trend tests
6 and different pair-wise comparison for all the tumor
7 types that had been put by IARC into question. That's
8 why now the statistical evaluation is different than
9 in the first report. And what you can see now is
10 mainly based on this second, on this reevaluation.

11 Okay. If you have here the studies,
12 according to our evaluation, we have five valid
13 studies in mice, four in CD-1 mice and one, this Kumar
14 study in Swiss mice. What we have seen in the first
15 evaluation is now in brackets here. That was the
16 first increase in any tumor incidences that became
17 apparent in our first evaluation because there was an
18 increase in malignant lymphoma in the Swiss mice.

19 And the malignant lymphoma in the Swiss
20 mice are different from all the other tumor types you
21 can see here in the following slide because here, we
22 have a frequent tumor. All the other tumors are rare.
23 But here in the Swiss mice, we had a high background
24 incidence, around 20 percent, also in the control

1 animals. And indeed, the Swiss mouse is prone to
2 develop malignant lymphoma.

3 And this is unique also in that way
4 that we had here, I think, is the only tumor in mice,
5 also an increase in female mice here. The lymphoma in
6 female mice were also increased. And we had a
7 statistically significant difference to the control
8 groups in a pairwise comparison in the set test.

9 However, when we reevaluated all the
10 tumors, we did also (inaudible) test, and the
11 statistical significant disappeared. Then we
12 performed the trend test of which the IARC was very
13 much in favor. And here, we didn't find any
14 statistically significance. I think this is because
15 of the high background incidences in the strain here.

16 And of course, we cannot be sure which
17 contribution might have a possible viral infection for
18 which we don't have real proof, but which we cannot
19 exclude. There's a long story of possible
20 contribution of oncogenic murine viruses and cancer in
21 mice here. That's why we have put it here in
22 brackets.

23 But the evidence of a higher incidence
24 of malignant lymphoma in Swiss mice, we saw it in a

1 more thorough look at the malignant lymphoma
2 incidences in CD-1 mice. And actually, there are two
3 studies in CD-1 mice with a higher lymphoma evidence.
4 And I think we had the question in the afternoon here
5 about the number of studies with the higher evidence
6 here. These are the studies by Sugimoto and the Wood
7 study in CD-1 mice with a higher number of malignant
8 lymphoma.

9 However, when we looked at the
10 historical control data we found good historical
11 control data, at least from the Sugimoto study from
12 the same lab, showing that the number of tumors was
13 well within the historical control data. By the way,
14 for the Swiss mice, at least for the females, it was
15 also inside the historical control data. And for the
16 Wood study, we found also at least good historical
17 control data from the literature.

18 What we have on the malignant lymphoma,
19 then we have the kidney tumors and we have thee
20 hemangiosarcoma. The problem is we didn't
21 consistently see such an increase in all the studies.
22 In some studies, we had, for example with regard to
23 the kidney tumors, even a decrease in renal tumors.

1 We came to the conclusion that we have
2 seen statistically significant increases for different
3 tumor types in the trend test, so for like
4 hemangiosarcoma and for the malignant lymphoma in CD-1
5 mice and for kidney tumors in CD-1 mice, but never in
6 pairwise comparisons. We had for all of these tumors
7 only low incidences, even at excessive doses. I will
8 show that later. They were all within the historical
9 control data range.

10 And we had no consistency among all the
11 studies. And there was no evidence of supporting pre-
12 neoplastic lesions for any of these tumor types.
13 That's why our weight-of-evidence evaluation that also
14 the tumor findings in the mice were not treatment
15 related despite the increases at the top dose levels
16 in certain tumor types here.

17 To go more into the details, here you
18 can see the malignant lymphoma in the male CD-1 mice.
19 In the CD-1 mice, in contrast to the Swiss mice, only
20 the males were of concerns. It's a rare tumor in CD-1
21 mice. All the tumors at all dose levels in all four
22 studies in CD-1 mice were below the maximum of the
23 historical control dose, historical control range.

1 And we have seen that even in the
2 control rates, in the untreated controls or at the
3 low-dose levels or the mid-dose levels we had
4 sometimes evidence of a higher tumor incidence but not
5 necessarily at the high-dose levels even though
6 exaggerated dose levels were used at least in the
7 studies by Knezevich & Hogan that one on the right,
8 and by Sugimoto.

9 Same pattern you can see with regard to
10 the hemangiosarcoma. Here, we had the highest
11 numerical incidence in the study by Atkinson et al.
12 However, all through this incidence is covered by the
13 historical control range. And at much higher dose
14 levels, we had lower incidences of hemangiosarcoma.
15 Again, there is no consistency.

16 With regard to the kidney tumors, the
17 pattern is similar. Only with the difference here
18 that at the highest dose level that was employed in
19 any of the studies, the incidence was three kidney
20 tumors in 50 male animals here, was at the upper edge
21 of the historical control range but still within.
22 That's why we think that even the tumors in mice are
23 not related to glyphosate.

1 With regard to genotoxicity, I can only
2 confirm the evaluation that was presented here today
3 by the EPA colleagues. Glyphosate proved negative in
4 the vast majority, if not in all, genotoxicity studies
5 in which the usual genotoxic endpoints such, in
6 mutations and bacteria or in mammalian cells,
7 chromosome aberrations were investigated.

8 But we had some evidence of induction
9 of sister chromatid exchange of interaction with the
10 DNA in so-called indicator tests from of which we
11 don't know if the indicate real genotoxicity or might
12 lead to apoptosis, cell death, and so on or will be
13 repaired by the organism.

14 Even if glyphosate might induce such
15 DNA strand breaks, for example, it seems based on the
16 negative in vivo studies in the standards tests that
17 the organism can cope with it. So again, the weight
18 of evidence suggests that glyphosate as the active
19 substance does not induce mutations.

20 I wouldn't be that sure with regard to
21 all the formulations that are on the market. And here
22 we have frequently the problem that positive evidence
23 was found in publications in which studies are
24 described which were performed with formulations and

1 not with the glyphosate itself. Sometimes, a title or
2 an abstract made be misleading because the test item
3 for the formulation are not the active ingredient.

4 At least our doubts were strong enough
5 for the formulations that we have required for the so
6 called representative formulation in the E.U.,
7 genotoxicity assays and that we would strongly
8 recommend a Member State level to ask for genotoxicity
9 studies with formulations. We know that in other
10 parts of the world, for example in Brazil, it is usual
11 to provide also for formulations genotoxicity tests.

12 **DR. JAMES MCMANAMAN:** Dr. Niemann,
13 we're running a little over on time here.

14 **DR. LARS NIEMANN:** Yes, sir. Okay.
15 This is the weight-of-evidence considerations.
16 Principle, it's the same as what you have seen from
17 the EPA presentations here. And the result with our
18 weight-of-evidence approach was then that we think
19 that glyphosate is unlikely to pose a carcinogenic
20 risk to humans. And that's why we hadn't proposed it
21 as a classification in the ECHA process, as well.

22 And I think we don't stand alone with
23 that evaluation since it is more or less the same as
24 it was reached by many international organizations.

1 Of course, the IARC evaluation is in contrast to that
2 but we should emphasize that IARC had to rely on
3 summaries of industry studies only and irrelevant
4 publications.

5 Thank you very much.

6 **DR. JAMES MCMANAMAN:** Thank you to both
7 of you.

8 Are there any questions for these two
9 presenters, commenters?

10 Dr. Crump.

11 **DR. KENNY CRUMP:** Could we go back to
12 the first presentation and look at the slide that had
13 hemangiosarcomas in mice? Yeah. I just want to point
14 out that I think in the Atkinson study, at least when
15 I look at those data, I got the same numbers of tumors
16 that you have, 0004, but it looked to me like they
17 examined far fewer than 50 animals in each group.

18 I got they only examined five, six,
19 three, and nine. But the same thing was in the EPA
20 study, and I think it was also in the IARC study. If
21 I'm right, all of these have got the wrong
22 denominators. And result is still statistically
23 significant with those numbers. But it's not as
24 significant as it was with the 50s up there.

1 **MS. DANIELE COURT-MARQUES:** And which
2 study is that? I'm sorry.

3 **DR. KENNY CRUMP:** That's Atkinson, male
4 CD-1 mice, males and females both. The denominator, I
5 think, are wrong in both the males and females. You
6 may want to check that.

7 **MS. DANIELE COURT-MARQUES:** Yeah. It's
8 possible.

9 **DR. KENNY CRUMP:** Just check it.
10 You'll see. Make sure you got it right.

11 **MS. DANIELE COURT-MARQUES:** Thank you.
12 Yes.

13 **DR. KENNY CRUMP:** And the same goes for
14 the EPA. They have the same numbers, I think, that
15 you all have.

16 **DR. JAMES MCMANAMAN:** Other questions?
17 Yes. Dr. Taioli.

18 **DR. EMANUELA TAIOLI:** Emanuela Taioli.
19 For the E.U. evaluation, is there a public site where
20 we can see who are the people -- did you have like
21 people invited to do the evaluation or was it an
22 internal evaluation of the document?

23 **MS. DANIELE COURT-MARQUES:** Yes.
24 Actually, there were also, I must say, a polemic

1 around this because the peer review -- well, first we
2 take into consideration all public comments. And this
3 is all reported and all published in these 6,000
4 pages, I'm afraid, of report that are published
5 together with EFSA conclusion. The EFSA conclusion is
6 very succinct. It's just a report, a short summary,
7 let's say, of the overall evaluation. Because it's
8 all in detail to the (inaudible) first that is also
9 published, and then the report of the peer review.

10 Now the peer review is done with Member
11 State experts. And according to the EFSA rules, the
12 Member State experts are nominated by their own Member
13 State. It's not under EFSA's, let's say, legislation.
14 And they are not obliged to declare or publish their
15 name, if you like, because they are public servants
16 usually in the respective Member States. And yes,
17 it's true that there was some polemic about this
18 because not all of these experts agreed to have their
19 name published on the EFSA website.

20 **DR. LARS NIEMANN:** What you can see on
21 this report is, for example, the following. You will
22 find there on one side a description of all the
23 individual studies. For each study, you will find --
24 at least for the new studies here. For the old it's

1 more an overview. But the new studies you will find
2 the description of the studies. Then you will find a
3 conclusion.

4 And in the conclusion of the Rapporteur
5 Member State here of our Institute you will also find
6 if the same conclusion has been reached by the
7 applicants or by the study director. And if not, what
8 are the reasons for the different conclusions. And
9 then if the same conclusion was then amended later on
10 because of comments from the Member States or from
11 EFSA, this is also mentioned.

12 For example, if an NOAEL is changed or,
13 for example, there was the acute reference had not
14 been regionally proposed by Germany, but was
15 introduced after the expert meeting. And everything
16 can be found in the report, but it's 6,000 pages,
17 unfortunately.

18 **DR. EMANUELA TAIOLI:** Emanuela Taioli.
19 The idea is that the IARC and the EPA committee have a
20 process for choosing the experts, and the experts are
21 public. And I wanted to know if that was the same.
22 You're saying that it's not, according to what you
23 said, more or less.

1 **MS. DANIELE COURT-MARQUES:** Part of the
2 experts agreed and their declaration of interest are
3 also published on the EFSA website.

4 **DR. JAMES MCMANAMAN:** Yes. Dr.
5 Sheppard.

6 **DR. LIANNE SHEPPARD:** So I wanted to
7 ask questions about guideline studies and studies that
8 were considered with nephrological deficiencies.
9 Because there's been some things in the literature to
10 say that guideline studies, well, they're in place for
11 a good reason because there was a lot of problems back
12 in, I can't remember if it was the '70s or the '80s so
13 they instituted guidelines. But they're not
14 necessarily using assays that are as sensitive as
15 peer-reviewed papers or studies in the peer review
16 literature.

17 I wanted to just get a sense from both
18 of you about how you all looked at the -- because, for
19 instance, Daniele, in your document it says a couple
20 of them weren't guideline studies as though that's a
21 problem with them. And I guess I wanted to get a
22 better sense from you about the role of guideline
23 studies in the work that you all do.

1 **MS. DANIELE COURT-MARQUES:** Well, also
2 we should make also the distinction between GLP
3 studies and guideline studies. Because on one hand,
4 GLP were, let's say, put in place mainly for the
5 industry. Because, of course, there are conflict of
6 interests, and this was a way to guarantee, I would
7 say, that the studies were performed according to --
8 and could be then checked afterwards that they were
9 conducted properly and there was no cheating, let's
10 say, as because there were also some cases that were
11 reported.

12 This is, I think, the main purpose of
13 GLP studies. And this GLP one, for us, are mandatory
14 when they are nonpublished industry studies so that
15 it's, let's say, a guarantee that all right, we can go
16 back to the study report, if necessary or to the raw
17 data, if necessary. And this has been done from time
18 to time with checking or validation of study when
19 there could be some doubts on the results that are
20 presented.

21 Now the guidelines are more related to
22 the results themselves. How can we see, for instance,
23 the dose-response or can we have test materials that
24 is well defined that, in this case, can be quite

1 important. This is more regarding to the results
2 themselves that we would like to see the guidelines.
3 And this would apply most to industry or also public
4 literature.

5 **DR. LARS NIEMANN:** I'd like to amend
6 that, even too, it is sometimes claimed I don't
7 believe that the guideline studies themselves are
8 insensitive. All the tumor findings we have shown
9 here and discussed here have been found in guideline
10 studies, for example, yeah. But I think the point is
11 it's the legal basis. We have, as well as you have in
12 the U.S., in Europe we have also the legal data
13 requirement. It is clear which endpoints have to be
14 addressed by the applicants. And that is not unique
15 for glyphosate, so for all the pesticides.

16 And it is also required by law that
17 they have to perform the studies in a certain way.
18 And that means in accordance to the OECD test
19 guidelines, so for this endpoint OECD guidelines are
20 available. These guidelines are also under revision.
21 And what we have to do is to compare whether the study
22 design, the methods they used, are in compliance with
23 the guideline requirements, if all the parameters have

1 been measured. And so of course, they can go in
2 excess of the guideline requirement.

3 The problem are not the guideline
4 studies. The problem -- even so, we have also
5 downgraded guideline studies or considered them not
6 acceptable. Even if it was claimed I have followed
7 this or that guideline, some of them we have
8 downgraded.

9 But the problem I think it's more to
10 take into account better the published data. And I
11 think EFSA, for example, made considerable efforts in
12 the past to include published data better. And that's
13 the problem, I think. We should not, let's say, leave
14 the guideline studies aside. We should better include
15 in addition, more of the published information.

16 **DR. JAMES MCMANAMAN:** All right. I
17 think we can call this a day. I think it's getting
18 late. And I thank the folks from Europe very much for
19 their nice presentations.

20 **(WHEREAS THE MEETING WAS ADJOURNED FOR THE DAY)**

21

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

DAY 2

MR. STEVEN KNOTT: Well good morning everyone. We're going to go ahead and get started. Welcome back to the second day of the meeting of the FIFRA Scientific Advisory Panel regarding EPA's evaluation of the carcinogenic potential of Glyphosate. Once again, I'd like to thank the panel members and the member of the public for attending today's session.

Dr. McManaman, our Chair, is going to be joining us shortly. Dr. Ehrich has agreed to fill in as Chair for the first few minutes until Dr. McManaman arrives because we would like to go ahead and get started with today's public comment session. There's a large number of public comments to move through today so we want to go ahead and get started with the first presentation. At this point I'll turn it over to Dr. Ehrich. Thank you.

DR. MARION EHRICH: Okay. Because we have so many public comments, those of you who are making them, get up to the microphones and not spend a lot of time back and forth. Also, you need to speak close enough to the microphone so it can be heard and

1 recorded but not so close that it's garbled. Please,
2 people making public comments, keep that in mind.

3 Now I'd like to go around and introduce
4 the panel that's reviewing this document. I'm Marion
5 Ehrich, I'm from Virginia Tech. I'm a pharmacology
6 and toxicology teacher at their veterinary school and
7 at their medical school.

8 **DR. DAVID JETT:** Hi. I'm Dave Jett.
9 I'm a permanent member of the FIFRA. I'm Director of
10 the Chemical Defense program at the National
11 Institutes of Health, also adjunct professor at the
12 School of Medicine, University of Maryland.

13 **DR. JOSEPH SHAW:** Hello. I'm Joe Shaw.
14 I'm a permanent member. I'm an environmental
15 toxicologist at Indiana University.

16 **DR. KENNY CRUMP:** I'm Kenny Crump. I'm
17 a semi-retired statistician.

18 **DR. LAURA GREEN:** Good Morning. I'm
19 Laura Green. I'm a chemist and toxicologist with
20 Green Toxicology.

21 **DR. ERIC JOHNSON:** Good morning. I'm
22 Eric Johnson. I'm a professor in the Department of
23 Epidemiology at the University of Arkansas for Medical
24 Sciences.

1 **DR. BARBARA PARSONS:** Good morning.

2 I'm Barbara Parsons from FDA's National Center for
3 Toxicological Research.

4 **DR. ARAMANDLA RAMESH:** Good morning.

5 My name is Aramandla Ramesh. I am Associate Professor
6 at Meharry Medical College. My research interests are
7 environmental toxicology and chemical carcinogenesis.

8 **DR. LUOPING ZHANG:** Good Morning. I'm

9 Luoping Zhang from University of California, Berkeley,
10 and my research focuses on the chemical exposure
11 associated cancer, particularly leukemia and lymphoma.

12 **DR. DANIEL ZELTERMAN:** Good morning.

13 I'm Dan Zelerman. I'm a Professor of Biostatistics
14 at Yale. I design and analyze clinical data for
15 cancer studies.

16 **DR. EMANUELA TAIOLI:** Good morning.

17 I'm Emanuela Taioli. I'm a professor at Mount Sinai
18 School of Medicine and I'm a cancer epidemiologist.

19 **DR. LIANNE SHEPPARD:** Hello. My name

20 is Lianne Sheppard. I'm a biostatistician at the
21 University of Washington and also in the Department of
22 Environmental and Occupational Health Sciences. And
23 my work focuses mostly on health effects of
24 environmental and occupational exposures.

1 **DR. MARION EHRICH:** Okay. Our first
2 public comments this morning are from Monsanto
3 Company. Would you please get yourself ready at the
4 microphones. We have Donna Farmer, Caroline Harris,
5 John Acquavella, Jim Bus, Joel Haseman, David
6 Kirkland, and Rick Reiss.

7 And the panel has the opportunity to
8 ask you questions, so they will raise their hand if
9 such occurs during the presentation. But we want to
10 keep this on time so we want you to be ready and move
11 this forward. Dr. Farmer are you the first speaker?

12 **DR. DONNA FARMER:** Yes, I am. Good
13 morning. My name is Donna Farmer. Let me say on
14 behalf of the Monsanto Company we would like to thank
15 the EPA and the members of the Scientific Advisory
16 Panel for giving us this opportunity to speak to you
17 today.

18 The order of the presenters today will
19 be as follows: I will make some opening remarks. I
20 am a Senior Toxicologist in Monsanto's Regulatory
21 Product Safety Center. And I will be followed by a
22 group of distinguished experts that have been invited
23 to review and address EPA's charge questions.

1 The first to speak will be Dr. Caroline
2 Harris, Corporate Vice President, Center Director, and
3 Principal Scientist with Exponent, and she will
4 discuss dietary exposure. Dr. Harris will be followed
5 by Dr. John Acquavella, Professor, Department of
6 Clinical Epidemiology at Aarhus University in Denmark.
7 He is also retired from Monsanto. And Dr. Acquavella
8 will address epidemiology charge question number two.
9 Dr. James Bus, Senior Managing Scientist with
10 Exponent, retired from Dow, will address animal
11 bioassay charge question number three.

12 Dr. Joseph Haseman, President, J.K.
13 Haseman Consulting will discuss biostatistics. He
14 will be followed by Dr. David Kirkland, Honorary
15 Professor, University of Swansea, UK. He is a genetic
16 toxicology consultant with Kirkland Consulting and
17 will address gene toxicity charge question number
18 four.

19 Our last presenter will be Dr. Rick
20 Reiss, group Vice President and Principal Scientist
21 with Exponent. And he will address carcinogenicity
22 classification, charge question number five and
23 provide closing remarks.

1 I also want to point out that doctors
2 Acquavella and Kirkland were members of the expert
3 panel, convened by a scientific consulting firm and
4 sponsored by Monsanto that reviewed Glyphosate
5 epidemiology, animal bioassays, gene toxicology, and
6 exposure. The four publications from that review were
7 published in Critical Reviews of Toxicology in Volume
8 46 in 2016.

9 In addition, Dr. Harris published a
10 paper on chronic dietary exposure in food chem
11 toxicology in 2016. And that was sponsored by the
12 European Glyphosate Task Force. Before you are
13 binders that have our presentations and our bios for
14 you.

15 Glyphosate is a versatile herbicide
16 that has been used for over 40 years by farmers, land
17 managers, gardeners, and others to simply, safely, and
18 effectively control unwanted vegetation. Since their
19 introduction in 1974 Glyphosate-based products have
20 become the most commonly used herbicides in the world.
21 The wide-spread adoption of this herbicide is based on
22 three key factors: Glyphosate's ability to control a
23 wide spectrum of weeds, its extensive economic and
24 environmental benefits, and its strong safety profile.

1 Indeed, when it comes to safety
2 assessments no other pesticide has been more
3 extensively tested and evaluated than Glyphosate. In
4 an evaluation spanning four decades the overwhelming
5 consensus of regulatory experts worldwide including
6 those you have heard from this past day, the EPA, the
7 BfR, and EFSA has been that Glyphosate does not
8 present a carcinogenic hazard to humans. And was said
9 the label is the law, and it can be used safely
10 according to label directions.

11 While Glyphosate contains a carbon and
12 a phosphorus it is not an organophosphate and does not
13 inhibit cholinesterase activity. Glyphosate works by
14 inhibiting enzyme in a process present in plants that
15 as you heard yesterday people and animals do not have.
16 Glyphosate when applied to a plant is absorbed and
17 travels to the roots where it blocks the specific
18 plant enzyme. Without that enzyme the plant can't
19 make the building blocks it needs to grow and the
20 entire plant withers to the ground.

21 Any remaining Glyphosate in the
22 environment binds tightly to soil, degrades over time
23 into naturally occurring substances such as carbon
24 dioxide, nitrogen, and phosphate. In the 1990s,

1 combining Glyphosate with crops that could withstand
2 applications of this herbicide transformed agriculture
3 and modern agricultural biotechnology began. Labor
4 and machinery requirements declined and adoption of
5 this technology is associated with increased off farm
6 income because of savings.

7 Glyphosate tolerant crop varieties
8 greatly simplified weed control for corn, cotton, and
9 soy bean farmers. It also allowed sugar bean farmers
10 to increase their yields by both eliminating weed
11 competition and reliance on other herbicides that can
12 cause crop damage. Addition of Glyphosate tolerant
13 crops is also associated with an increased likelihood
14 of adopting conservation tillage or not plowing the
15 soil. Conservation tillage is defined as a system
16 that leaves enough crop residue.

17 And you can see that the base of the
18 cornstalks down there on the soil surface after
19 plowing provide 30 percent soil cover, the amount
20 needed to significantly reduce soil erosion.
21 Conservation tillage systems offer numerous benefits
22 that conventional tillage can't match. Reduced soil
23 erosion, improve soil and water quality, fewer tractor
24 trips across the field, saving, for example, 1,700

1 gallons of fuel on a 500-acre farm, and lower carbon
2 dioxide emissions.

3 In 2014 alone, the reduction of carbon
4 dioxide emissions was equivalent to removing 4.6
5 million kg of carbon dioxide from the atmosphere or
6 equal to removing 1.9 million cars from the road for
7 one year. Although Glyphosate resistant weeds have
8 evolved Glyphosate based herbicides are still very
9 important tools in a farmer's toolbox and it is
10 possible to effectively manage this issue by adopting
11 and developing diversified weed management plans.

12 Today, Glyphosate tolerant crops form
13 the backbone of many U.S. major crop-pro businesses
14 and accounted for over 33 billion of annual exports.
15 In agricultural systems where Glyphosate tolerant
16 crops are not available Glyphosate based herbicides
17 still provide significant benefits by simplifying weed
18 management and reducing the need for conventional
19 mechanical tillage. For orchards and vineyards
20 effective weed control is necessary to ensure
21 productivity. In these settings Glyphosate is an
22 essential tool for controlling vegetation beneath the
23 trees or the vines.

1 In wheat, Glyphosate has allowed
2 farmers to adopt no till practices that help them to
3 conserve soil moisture, thus enabling rotation with
4 more profitable crops. In sugar cane Glyphosate
5 improves harvest quality in addition to controlling
6 weeds. Glyphosate also enables the adopt of cover
7 crops by providing a simple and effective means to
8 eliminate the cover crop prior to planting a cash crop
9 without raising concerns about plant back
10 restrictions.

11 Cover crops, you can see up there, like
12 rye, field peas, and clover are key components of a
13 strategy to reinvigorate and protect the soil between
14 rotations of cash crops. In non-agricultural settings
15 Glyphosate provides cost effective weed control along
16 highways, railways, and other rights-of-way. In an
17 economic analysis of highway median weed control, for
18 example, Glyphosate was 275 percent less expensive
19 that alternative methods that included multiple mowing
20 events and alternative herbicides. Glyphosate-based
21 herbicides have also delivered significant benefits
22 for invasive weed management.

23 National parks have relied on
24 Glyphosate to decisively manage non-native vegetation

1 in aquatic settings, as you can see up there. It has
2 been used to replace mechanical weed removal to enable
3 navigation of waterways, maintain water flow in
4 drainage ditches, irrigation canals, and eliminate
5 weeds that crowd out native wildlife. All of us at
6 Monsanto are consumers who are committed to developing
7 a broad range of products that contribute to safe and
8 nutritious food choices and effective control of
9 unwanted vegetation for everyone including our own
10 families, neighbors, and friends.

11 Safety is our top priority and my job
12 as a scientist at Monsanto is to ensure our products
13 are safe for you, for your families, and for mine. I
14 have spent 25 years looking at the safety of
15 herbicides, specifically Glyphosate for 25 years and I
16 am fully confident in the safety of Glyphosate.
17 Glyphosate-based herbicides have a history of more
18 than 40 years of safe use around the world. And as
19 you heard yesterday it is supported by one of the most
20 extensive worldwide human health and environmental
21 effects databases ever compiled for a pesticide
22 including seven complete regulatory data packages.

23 These data packages have been developed
24 by different registrants in different testing

1 facilities in different geographies over the decades.
2 And that's the data that you heard the EPA, EFSA, and
3 EFR look at yesterday. Comprehensive toxicological,
4 ecotoxicological and environmental fate studies
5 conducted over the last 40 years have time and time
6 again demonstrated the strong safety profile of this
7 widely used herbicide.

8 Over the past 40 years, as we've talked
9 about, Glyphosate has been reviewed and re-reviewed by
10 regulatory agencies, scientific bodies, and
11 independent experts around the world. As I just
12 mentioned there are multiple registrants and seven
13 complete regulatory data packages.

14 The consensus of this comprehensive set
15 of toxicology studies as you heard yesterday have been
16 consistent and demonstrated that Glyphosate has low
17 oral, dermal, and inhalation toxicity, it shows no
18 evidence of genotoxicity, neurotoxicity,
19 immunotoxicity, disrupting the endocrine system,
20 reproductive or developmental toxicity, and it does
21 not produce malformations.

22 Regarding carcinogenicity, regulatory
23 agencies whose job it is to prove and regulate
24 pesticides as well as scientific bodies and other

1 independent scientists have reviewed and re-reviewed
2 over the past 40 years the rat and mouse
3 carcinogenicity studies and have consistently
4 concluded on a weight of evidence analysis all of the
5 data that Glyphosate does not pose a carcinogenic
6 hazard to humans. Monsanto takes great pride in the
7 science behind the safety of our products.

8 We believe conclusions about a matter
9 as important as human and environmental safety must be
10 nonbiased, thorough, and based on sound science that
11 adheres to internationally recognized standards. We
12 support the rigorous process used by regulatory
13 authorities to use all available data, published and
14 unpublished, in a weight of evidence evaluation. And
15 we would like to thank and commend the U.S. EPA on its
16 comprehensive and science-based critical review of
17 Glyphosate.

18 To be clear, no regulatory agency in
19 the world considers Glyphosate to be a human
20 carcinogen. Similar to the slide that we saw
21 presented by Dr. Niemann from BfR; on this slide on
22 these reviews from 2015 forward, from regulatory
23 agencies around the world, as he mentioned, Australia,
24 New Zealand, Japan, JNPR, the European Union, and

1 Canada. The conclusions of these agencies reviews are
2 consistent with the recent and previous conclusions of
3 the U.S. EPA as well as those regulatory authorities
4 and international bodies around the world over the 40-
5 year history of Glyphosate.

6 Based on the overwhelming weight of
7 evidence the Monsanto Company strongly agrees the
8 classification the EPA has proposed in this issue
9 paper that Glyphosate is not likely to be carcinogenic
10 to humans. Maintaining access to Glyphosate is
11 critical to maintaining environmental and economic
12 sustainability to agriculture. Its versatility,
13 effectiveness and safety have transformed vegetation
14 control across a wide range of environments around the
15 world.

16 Glyphosate-based herbicides ability to
17 effectively control unwanted vegetation, provide
18 benefits that extend from individual farms, to global
19 trade, to national parks, to golf courses, to local
20 governments and gardeners. For all of these reasons,
21 Glyphosate was called a once in a century herbicide by
22 Dr. Stephen Duke, research leader at the United States
23 Department of Agriculture. Continued access to this
24 important technology is essential. And again, on

1 behalf of Monsanto we would like to thank EPA and all
2 of you, SAP panel, the opportunity to speak to you
3 today. And I would like to introduce the next
4 speaker, Dr. Caroline Harris.

5 **DR. CAROLINE HARRIS:** Thank you. Well
6 good morning. I'd like to speak to you this morning
7 about dietary risk assessment and Glyphosate residues.
8 It's not a charge question per se but it does help put
9 some of the studies into context that's been carried
10 out. When we talk about exposure of the general
11 population to Glyphosate dietary exposure is a
12 principal way through which they are exposed.

13 The EPA in their charge paper presented
14 an unrefined dietary risk assessment. And what I'd
15 like to share with you today is a publication from
16 Europe this year by myself and my colleague, Claire
17 Stephenson, which is an assessment from Europe that
18 shows the possibilities to refine this intake
19 assessment. Part of explaining the dietary exposure
20 is to also put into context the basis to which the
21 general population are exposed compared to the levels
22 which are used in the carcinogenicity testing. I'm
23 not going to speak about operator exposure at all.
24 Dr. Acquavella will cover that later on this morning.

1 Going back to basics, the risk
2 assessment paradigm is very simple, you identify the
3 hazard, you determine the exposure, and the risk is a
4 function of the hazard and the exposure. And this is
5 used everywhere in the world for assessing risk not
6 just for agrichemicals but virtually every chemical.
7 And when you carry out these assessments, generally,
8 regulatory authorities don't use any more effort than
9 they need to to demonstrate a suitable margin of
10 safety.

11 You start with very conservative
12 assumptions. And when I say conservative I mean that
13 you default to safety and you over estimate exposure
14 rather than doing anything that would under estimate
15 exposure. But you can apply refinements and these
16 refinements are dependent on a number of things but
17 primarily the data you have available and how far you
18 need to refine that exposure. And please don't take
19 these comments as a criticism of the EPA's issues
20 paper. They have done their dietary risk assessment
21 in the same way as virtually every other regulatory
22 authority around the world.

23 And I think this was highlighted by Dr.
24 Perron from the EPA yesterday. They've used as much

1 effort as necessary to show suitable margins of safety
2 for consumers. And they've not put in additional
3 resources to show that there are even larger margins
4 of safety that can be obtained. If we look at
5 Glyphosate, a few interesting points about absorption
6 et cetera, et cetera. Generally, the dietary exposure
7 is low and absorption through the GI tract is low.
8 Numbers have been quoted over the last two days of
9 around about 20 to 30 percent.

10 Although not relevant to consumers,
11 actually the dermal exposure or dermal absorption is
12 also very low, it's less than one percent. And those
13 residues of Glyphosate that are absorbed are virtually
14 all excreted by urine. And interestingly, when you
15 look at the publications on Glyphosate residues in
16 breast milk virtually every study around the world
17 shows the same thing, that there were no detectable
18 levels of residues found. And in a number of cases
19 the limited quantitation that was used with these
20 methods of analysis was incredibly low.

21 There was one publication which did
22 show detectible residues but this had used an ELISA
23 method which wasn't validated for use in breast milk.
24 And when you make a back calculation it's difficult to

1 show that those levels are actually biologically
2 plausible. What was presented in the EPA issues
3 paper? Well it was a calculation carried out using a
4 D-model using a very conservative approach. And this
5 is equivalent to the Theoretical Maximum Daily Intake
6 or TMDI which I'll talk about later on.

7 And default adjustment factors were
8 used in that assessment and that would take account of
9 any potential increases in residues that might occur
10 as a result of processing. Looking at the various
11 levels of refinement that could have been used and
12 starting with the TMDI, why I feel this is
13 conservative is because the assumption you make is all
14 feeds that could contain Glyphosate residues do
15 contain Glyphosate residues and these occur at the
16 maximum residue limit or tolerance which is the
17 maximum legal limit.

18 Now clearly over a lifetime that's not
19 going to happen. And internationally it's considered
20 that the median residue gives a much better estimate
21 of the likely exposure in chronic assessments. And
22 therefore, you refine your TMDI to a national estimate
23 of dietary intake or a NEDI. But you can also go on
24 and refine that even further while using actual data

1 on the changes in residue that occur in the processing
2 or using data that are found in monitoring. These are
3 not residue levels that you're using based on
4 controlled residue studies. They're actually what is
5 in the population or the food that the population is
6 consuming.

7 And in the example, I'll present to you
8 I've also done some additional refinements for the
9 Irish and the German diet taking account of cereals
10 and citrus processing. Particularly for cereals for
11 humans, they don't eat raw wheat or raw barley. They
12 eat breakfast cereals, they eat bread, they drink
13 citrus juice. And therefore, those refinements should
14 be taken into account in the assessment. This is a
15 diagram that's taken from the publication.

16 And if you look at the top part of this
17 diagram and the large blue column this is the
18 theoretical maximum daily intake. The very
19 conservative approach. And there are three models
20 I've used here. The UK toddler is defined as a child
21 between one and a half and four and a half in the UK,
22 German children and an Irish adult. But in the top
23 diagram it's not very easy to see the effects of the
24 refinement. We've truncated the columns in the lower

1 diagram to a maximum of 15 percent. This is just to
2 show how the refinements have been applied.

3 And the differences that you see here
4 with the TMDIs are just a function of the different
5 consumption patterns that are used in those countries.
6 But you can see the massive reduction that you get in
7 exposure when you refine to the NEDI that's using the
8 median residues. And that's the red column. And then
9 even further when you take account of processing
10 changes or monitoring changes. And those are the
11 green and the purple columns.

12 You can see the big reduction in
13 exposure that can be demonstrated, this is theoretical
14 exposure, when you make those refinements using actual
15 real world data. We've gone from a worse-case
16 scenario of 80 percent of the reference dose then to
17 something in the order of two or three percent. And
18 this is just the actual values of the consumer intake,
19 just to express that conservatism. And I've put these
20 numbers in a stepwise order starting with the values
21 that were quoted in the EPA issues paper. The
22 equivalent value that was calculated in Europe PRIMo
23 just refers to the model that's used, the Pesticide
24 Residue Intake Model.

1 And then progressively how you apply
2 the different steps to reduce that exposure using real
3 life data. And you see that from the top European
4 exposure at .4 milligrams per kilogram body weight per
5 day. You actually refine down to a fraction of that
6 when you applied processing data to .01 milligrams per
7 kilogram body weight per day. And I've actually
8 included there some information from the public domain
9 on biomonitoring as well. And that just gives you an
10 idea of the actual real life exposure that takes place
11 for consumers.

12 Keith Solomon from Canada also did
13 something very similar to this. It's quite a
14 complicated diagram but he's tried to get all
15 exposures on the same normal distribution here. The
16 red stars indicate the various chronic reference datas
17 or ADIs that have been used and the green bars show
18 the modeled exposures versus the measured general
19 population exposure or all biomonitoring. And you can
20 see the range of differences that are here.

21 What refinements could the EPA have
22 made to their assessment? Well they could have
23 adjusted for the percentage of crop treated. As I've
24 mentioned before, the model that was used, it seems

1 that all crops that are eaten would have been treated
2 with Glyphosate and all will contain residues at the
3 maximum permitted limit. And that is really an
4 incredibly unrealistic conservative approach which is
5 not realistic for assessing lifetime exposure. But
6 it's a very good way of making an assessment using the
7 right amount of resources to show appropriate margins
8 of safety.

9 When you've made all of these
10 calculations you then compare these with the reference
11 dose. And the reference dose that the EPA has in
12 place at the moment is 1.75 milligrams per kilogram
13 body weight per day which would equate to an exposure
14 for consumers of between approximately three and 13
15 percent of this value. And what you were trying to
16 use or trying to demonstrate to show safety is that
17 your consumer exposure will not exceed 100 percent of
18 the reference dose.

19 And here with this model which is
20 defaulted to conservatism and used over estimates we
21 can clearly demonstrate large margins of safety for
22 consumers. Thank you.

23 **DR. MARION EHRICH:** Any questions from
24 the panel for this speaker?

1 DR. JAMES MCMANAMAN: I think we'll
2 hold questions until the end if we can for the entire
3 presentation.

4 DR. CAROLINE HARRIS: I'd like to hand
5 over to Dr. Acquavella who will address the
6 epidemiology charge question number two.

7 DR. JOHN ACQUAVELLA: Thank you. What
8 I hope to do today is use my experience researching
9 Glyphosate and other pesticides to address some of the
10 issues that I think might be helpful for the agency
11 and hopefully for the panel members to interpret the
12 Glyphosate epidemiology literature.

13 I'm just going to start by saying that
14 my review of the agency's epidemiology section was
15 that I thought it was an excellent review. Having
16 just been the first author of an expert panel review
17 of Glyphosate I thought the agency was painstaking in
18 reviewing the pluses and minuses of all the available
19 studies.

20 I thought it was appropriate to weight
21 studies on the basis of quality criteria and to base
22 conclusions on the most reliable studies. I agreed
23 with their overall conclusion. The one area where I
24 would quibble with the agency would be whether or not

1 any of the case control studies could be considered on
2 a par with the Agricultural Health Study. As I go
3 through my presentation I'll explain some reasons why
4 that's the case.

5 I'm going to talk first about
6 Glyphosate biomonitoring and the implications of that
7 for epidemiology research. And then I'm going to talk
8 about exposure. Both the way it was collected and the
9 absolute amount of exposure that's represented in the
10 Agricultural Health Study versus the case control
11 studies. And then I'm going to talk about some
12 analytic issues that perhaps aren't apparent to people
13 who haven't had a lot of experience or haven't
14 necessarily worked with these studies over a long
15 period of time that I hope will be helpful in
16 interpreting some of the things that were discussed
17 yesterday.

18 I think we discussed this in several of
19 the presentations, Glyphosate has low vapor pressure
20 and dermal penetrability. It's excreted virtually
21 unchanged that's apparent in urine. And if you
22 collect urine at the appropriate time you can provide
23 a reliable measure of the amount of pesticide that
24 actually gets into the body. And I'll go through some

1 explanations of how we did that both in terms of
2 urinary concentration and the milligram per kilogram
3 dose.

4 The most comprehensive study done to
5 date is an industry sponsored study done in
6 collaboration with the University of Minnesota called
7 The Farm Family Exposure Study. And this was a
8 biomonitoring study of farmers and their families in
9 South Carolina and Minnesota and the field work was
10 done in the years 2000 and 2001 and in this study, we
11 had three pesticides. We had 48 farmers who applied
12 Glyphosate. We have data on those farmers and their
13 spouses and their children. I'll run through that
14 data mostly focusing on farmers.

15 And we had 32 farmers who applied 2,4-D
16 Chlorpyrifos and this was an extensive urine
17 collection protocol. What we did was we collected 24
18 hour urines the day before, the day of, and for three
19 days after the application. And we used the
20 terminology day minus one for the day before and day
21 zero for the day of application. And for the
22 applicators we had very high compliance. If you want
23 to evaluate that you can go and read Beth Baker's
24 paper that was published in 2005 where she goes into

1 the reasons why I say there was high compliance with
2 the urinary collection.

3 The Glyphosate applications were
4 substantial. Twenty-two of our 48 applications were
5 100 acres or more. We also had the farmer fill out a
6 questionnaire about the application practices. We
7 used the Ag held study questionnaire with some
8 additional questions that we thought might be helpful
9 in understanding the values we saw in farmers. And we
10 also had trained field observers who recorded what
11 actually happened in the field from an objective
12 standpoint as somebody who was observing. And I've
13 given two publications there that you can go to for
14 more detail if you'd like. I'm going to cover it at a
15 very high level.

16 This graph shows our geometric mean
17 values for the day before the application, the day of,
18 and for three days after for our 48 farmers. Our
19 method had a one part per billion limit of detection
20 and quantification. Our geometric mean value for
21 Glyphosate was three parts per billion in the 24-hour
22 period after the application and then the values
23 dropped rapidly from there. For Glyphosate but not
24 for the other pesticides 40 percent of the farmers had

1 values that were below our limit of detection and we
2 included them in the calculation at one-half the
3 limited protection or .5 parts per billion.

4 And interesting to me in just looking
5 through the data that eight of the 18 farmers who had
6 Glyphosate below the limit of detection had applied
7 Glyphosate to more than 100 acres. It wasn't just
8 that the people who were doing the smaller
9 applications in the study, a number of them had values
10 below the limit of detection, it was also farmers who
11 had done very substantial applications. We had one
12 farmer who had a value of 200 and 33 parts per billion
13 I think that is, 23, sorry. My vision is changing and
14 it's hard sometimes to see something in the distance.

15 Anyway, based on the field notes for
16 this farmer he had a very eventful day with his
17 equipment and he had to repair his boom sprayer many
18 times in the field. And he wasn't always careful to
19 use gloves as he was preparing his boom sprayer. He
20 was also smoking cigarettes when he was repairing his
21 boom sprayer in the field and he ate in the field,
22 obviously, without gloves on. A lot of these
23 distributions of exposure have a positive skew, you
24 know, they're skewed to the right. And he was our

1 outlier, our next highest value was about 40 percent
2 of his value. And he did everything you shouldn't do
3 if you're trying to limit your exposure.

4 On the other hand, it means that this
5 farm family study has the highest exposure that's ever
6 been collected to date. It does give you more of a
7 robust sense of the range of values that are possible
8 for Glyphosate than if you didn't have some people who
9 weren't doing things maybe the way you should or
10 didn't have eventful days in the field.

11 Before I move on, I just wanted to say
12 the panel asked yesterday about spouses and children.
13 We had 48 spouses in the study that we biomonitoring
14 for Glyphosate using the same protocol. Two of the 48
15 had at least one day where they had a value above our
16 limit of detection and their highest value was two
17 parts per billion. We had one who had one point
18 something parts per billion and one who had two.
19 Otherwise all the other spouses were below the limit
20 of detection.

21 We had 78 children who were
22 biomonitoring for Glyphosate. Nine of them had at
23 least one day where they were above the limit of
24 detection. The highest value was 29 parts per

1 billion. It was the son of the farmer who had the 223
2 parts per billion and who was helping his father with
3 the application. And it's interesting, one of the
4 things we did with this data was create informational
5 booklets that the Ag Extension Service uses about how
6 to prevent kids from getting exposure.

7 Both this farmer and his son were very
8 important in the kind of learnings about how to
9 minimize exposure for children who aren't necessarily
10 the primary applicator in the study. Let's move on.
11 If you look at the Glyphosate data exclusively you get
12 one picture about the exposure properties of
13 Glyphosate that comports well with the physical
14 chemical properties but we had two other chemicals in
15 this study. And this just gives you an idea about the
16 exposure potential of Glyphosate compared with these
17 two other pesticides. The orange line is the primary
18 metabolite of Chlorpyrifos and the blue line is so
19 2,4-D.

20 And you can see the importance of an
21 appropriately timed sample when you're doing
22 biomonitoring. Had you only biomonitored on the day
23 of application you would have missed the peaks both
24 for Chlorpyrifos and for 2,4-D. The geometric mean

1 for 2,4-D was 64 compared with the three for
2 Glyphosate and it was 18 for Chlorpyrifos. And you
3 can also see the elimination patterns are also
4 different for those two chemicals compared with
5 Glyphosate. The agency and the toxicology studies
6 tend to express the values of interest in terms of
7 milligrams per kilogram.

8 Because we have the urinary values over
9 five days and because we had information about each of
10 the participants in the study, we could take the
11 amount of Glyphosate in their urine and calculate a
12 systemic dose in terms of milligrams per kilogram.
13 And so what I've got on this slide if you look at the
14 Y-axis, is just the cumulative proportion or the
15 cumulative percentile organizing the values from lower
16 to highest. And the green is the Glyphosate values
17 and the orange is Chlorpyrifos. The blue is 2,4-D.

18 And the geometric mean value for
19 Glyphosate was 0001. milligram per kilogram and the
20 ninetieth percentile value was .001 milligram per
21 kilogram. It doesn't show up on a chart that scaled
22 so that you can see all the values. But here you get
23 a sense on a milligram per kilogram basis that maybe
24 it takes 20 or 30 days of Glyphosate application to

1 get the same milligrams per kilogram that you would
2 get from the average exposure to 2,4-D or the average
3 exposure to Chlorpyrifos.

4 Epidemiology studies tend to use this
5 metric of days of use as though a day of use for one
6 chemical is equal to a day of use for another chemical
7 is equal to a day of use for another chemical. But
8 really the exposure property of chemicals varies
9 greatly in terms of how much get in your body and this
10 is just one illustration. And you see the Glyphosate
11 distribution barely overlaps the other distribution
12 even in the high end for that fellow who had the most
13 eventful day.

14 In previous publications in thinking
15 about the exposure assessment and prioritizing
16 chemicals for valuation I've advocated thinking a
17 little bit about the exposure properties of the
18 chemicals. And to put some weighting in studies and
19 weighting in the exposure assessments that reflects
20 how much chemical actually gets into your body. Now
21 this is a cleaned-up version of the slide that was
22 shown that included dietary exposure, just taken all
23 that out.

1 And on this log scale then I've
2 indicated in the box and whisker plot the range of
3 biomonitoring values in terms of milligrams per
4 kilogram for Glyphosate. As I mentioned before, the
5 geometric mean 10 to the minus four milligrams per
6 kilogram, the ninetieth percentile value is 10 to the
7 minus three milligrams per kilogram. The regulatory
8 guidelines average about a one times 10 to zero
9 milligrams per kilogram and the toxicology studies go
10 up to 10 to the third milligram per kilogram.

11 You can see the order of magnitude
12 differences between the amount of exposure that you're
13 likely to see from Glyphosate application, which
14 happens a few times a year versus what are daily
15 regulatory limits and versus what are daily doses in
16 toxicology studies. Depending on how you think about
17 it, it spans seven orders of magnitude or six orders
18 of magnitude.

19 **DR. KENNETH CRUMP:** Kenny Crump. I
20 just wanted to know if the biomonitoring data are for
21 a day that they used, they were exposed, or is it
22 averaged over like a year?

23 **DR. JOHN ACQUAVELLA:** No. It's not an
24 average over the year. The day minus one would be the

1 day before the application. What we were trying to
2 accommodate, because you know if you measure
3 Glyphosate in urine you're measuring Glyphosate from
4 all sources, dietary, occupational, whatever. And we
5 were trying to parse out what the contribution of the
6 application is above and beyond the background level.
7 These milligram per kilogram doses are expressed
8 relative to the application but taking into account
9 all the Glyphosate measurements that happened over the
10 five days on study.

11 **DR. KENNETH CRUMP:** Okay. Thank you.

12 **DR. JOHN ACQUAVELLA:** So before I leave
13 the issue of exposure, panelists asked yesterday about
14 production workers and if you go in the literature
15 there are few production worker studies. I published
16 one in about 2000 on Alachlor production workers
17 looking at the experience, looking at cancer incidents
18 from the 30 years of our time that had elapsed since
19 the plant started through I think it was 1999. We did
20 that in collaboration with Iowa Cancer Registry.

21 There were 2,4-D production worker
22 studies in the literature but the thing of it is in
23 doing those studies, my cohort has 1,000 people in it
24 and 1,000 people were enough people to make enough

1 Alachlor for hundreds of thousands of farmers to do
2 it. And in our study, we had an expected
3 lymphopoietic cancer of, I think, one or two. So, you
4 know, it's very problematic to accumulate enough
5 production workers that you can look at rare cancers.
6 And so forward a little bit.

7 As part of corporate due diligence,
8 when I worked for Monsanto we did go to the Glyphosate
9 production facility. And we did walk with the
10 engineers and the industrial hygienists to try to
11 understand areas where exposure might happen.
12 Glyphosate becomes Glyphosate very late in the
13 process. It's an enclosed process and then that goes
14 to a canning line. And it involves very few workers
15 to make very large quantities of Glyphosate.

16 And the opportunity for exposure
17 potential and the limited number of workers involved
18 led us to believe the study was not feasible. We did
19 do an overall mortality study of the plant population
20 that looked at workers who had been employee from the
21 time Glyphosate started, through the 1990s, or
22 something like that. The mortality profile was very
23 good. They had very few cancers compared to what
24 would be expected, based on Louisiana rates, which is

1 where the plant is conducted. But you just can't
2 really assemble a production worker cohort that would
3 be very informative.

4 Really, most of the exposure is in the
5 end users and we weren't able to shed any light on
6 that work for Glyphosate.

7 **DR. ERIC JOHNSON:** Could you just
8 clarify --

9 **DR. JAMES MCMANAMAN:** Excuse me, Dr.
10 Johnson. I think we'll hold the questions until the
11 end of the presentation. We can come back. Write it
12 down and we will keep the continuity going.

13 **DR. JOHN ACQUAVELLA:** Yes. Thank you.
14 Hopefully I can keep my train of thought so let's move
15 on. I want to talk a little bit about exposure in
16 epidemiologic studies. Everybody knows that there's
17 one cohort study. The other studies that assess
18 exposure use case control design. I've included in
19 this graph or in this chart Cocco et al., which the
20 agency didn't include further. But it doesn't really
21 affect what I'm going to say or the conclusions that
22 the agency reached whether you include it or not
23 because of the content of the study.

1 But in our review article we did
2 include Cocco et al. I'll talk about six case control
3 studies and one cohort study. And if you look at the
4 exposure information from other case control studies,
5 four of them based their analysis on even one day of
6 use in a lifetime. And I think it would be helpful for
7 the agency to try to get a little bit more detail
8 about what that means. You know, one day of use in a
9 lifetime can mean, you know, that there are a fair
10 number people who have one or two or three days of use
11 in a lifetime and maybe one or two people who have 10
12 days or 20 days.

13 But, you know, when I look at one day
14 of use of Glyphosate, five days of use of Glyphosate
15 knowing how little gets into the body and knowing that
16 usually in chemical carcinogenesis we talk about
17 people who have had years of exposure? I mean it's
18 hard for me to believe that just a couple of days of
19 use in somebody's life versus all the other exposures
20 they have daily, versus working with other pesticides
21 that have much greater exposure potential can be a
22 valid indicator of the risks for Glyphosate exposure.

23 I think the agency would do everybody a
24 service if you just ask them to talk about what the

1 interquartile range is or the range just so we know if
2 we're looking at a study like Hardell or are we
3 talking about cases and controls where the maximum
4 exposure is four or five days. That would be more
5 informative than just an any use analysis. There are
6 two studies that do talk a little bit about use. The
7 McDuffie study is an interesting one. You know, if
8 you look at the exposure metric it's not really a
9 cumulative exposure metric, it's average days of use
10 per year but you don't know how many years.

11 There's a greater than two days of use
12 per year and there's a one or two days of use per
13 year. But from a cumulative exposure standpoint if
14 you have five years at two days of use, and two days
15 at three years of use, you know, you've got a people
16 with more cumulative exposure in the lower exposure
17 category than the high exposure category. I'd also
18 ask McDuffie, et. al., what kind of overlap do you
19 have in those exposure categories you're using in
20 terms of cumulative use? Because my chemistry
21 professor used to tell me, pay attention to the units
22 when you're trying to solve problems.

23 And here the units are days per year
24 which isn't a cumulative exposure, it's an average

1 without the requisite information you need on how much
2 exposure there is. And then of course the Ericsson
3 study has greater than 10 days as the highest exposure
4 category. And again, it would be useful to know,
5 these distributions tend to skew positive, whether
6 most of the values are near 10 or whether there are
7 some extensive values. Because as I mentioned, it's a
8 rule in chemical carcinogenesis that you focus on
9 people who have a lot of exposure, extended exposure,
10 as opposed to intermittent exposure.

11 I'll just give you an example, a recent
12 publication from The National Cancer Institute, Martha
13 Linet, who has been studying the cohort of Benzene
14 workers in China -- I know, Dr. Crump, you have great
15 interest in this study. You probably know it better
16 than I do. But in her methods section, they excluded
17 anybody who had less than six months' exposure to
18 Benzene because there's so much about their history
19 that you don't know, other exposures. Just
20 intermittent workers, you know, aren't usually the
21 focus of a chemical carcinogenesis study.

22 Usually you like to focus on workers
23 who have more exposure for lots of different reasons.
24 Let's move on to the Agricultural Health Study. I

1 thought the agency was right to say that the
2 Agricultural Health Study is a different animal than
3 the case control studies. I thought maybe I could
4 explain why that's the case. I was around when the
5 Agricultural Health Study started. They were kind
6 enough to invite me to their advisory committee
7 meetings to present on the farm family exposure study.

8 The rationale for the Agricultural
9 Health Study was that there were some unfixable issues
10 in the case control studies, mainly recall bias. Any
11 of you who know somebody who has cancer know it
12 affects the way they think about everything. I have a
13 relative who is about my age, he has advanced prostate
14 cancer. We sit together a lot. And he thinks a lot
15 about what might have caused his cancer. I could
16 imagine him being the case and I would be a control
17 and my context is very much different for answering
18 those questions than his would be.

19 He's been spending a lot of time
20 thinking about why do I have cancer, you know? What
21 did I do in my life? So, you know, I've spent a lot
22 of time working in pharmacoepidemiology. This is
23 equivalent to being unblinded in some ways. It's
24 something that you can't fix, you can only say that

1 it's a bias, you don't know how important it is.
2 Basically, the Ag Health Study was set up to deal with
3 this issue of recall bias. It's a very significant
4 research issue. But they also did some other things
5 that are important. They focused on frequent
6 pesticide users.

7 They recruited these people after they
8 had finished their pesticide training for the year so
9 they're knowledgeable reporters which is also
10 important, and there were no proxy respondents. The
11 Agricultural Health Study isn't just a study, it's a
12 program. They have three agencies working on it,
13 they've spent tens of millions of dollars, they've
14 published hundreds of papers. They've given
15 incredible consideration to how you go about doing
16 exposure assessment and the like. This is like a
17 second-generation epidemiology study of pesticides. I
18 think it probably didn't get its due yesterday in some
19 of the discussions.

20 But anyway, if we look at the De Roos
21 paper on Glyphosate, the ever/never analysis reflects
22 the fact that about three-quarters of the analysis
23 cohort and three-quarters of the non-Hodgkin lymphoma
24 cases had reported a history of Glyphosate use. But

1 they also did some analyses that look at the number of
2 days that people have used Glyphosate. And they have
3 a category of 21 to 56 days and they have a category
4 57.

5 I don't think anybody in their study
6 has 2,600 days but they have a category of 57 to, you
7 know, several hundred, who knows, I don't know what's
8 in that category. I'd ask the agency to perhaps get
9 some more information on what's in that category. But
10 this is selected from the people who have complete
11 covariate data which is about 36,000 people in the
12 study. And so, you can see by looking at the
13 proportion of cases and what the odds ratio is for
14 some of those different categories there's probably
15 10,000 or 12,000 people in the cohort who have 21 to
16 56 days of use and 57 to, who knows, several hundred
17 days of use.

18 The amount of exposure that's
19 considered in the Ag Health Study is really important.
20 And when you make judgments perhaps based on a meta-
21 analysis of ever/never use and you don't give more
22 weight to an analysis where there are possibly
23 hundreds of days of use I think you can miss the big
24 picture about what's going on. We usually focus on

1 the highest exposed people as being the most
2 informative. And so anyway I'm not sure the exposure
3 differences were apparent to everybody based on the
4 discussion yesterday so I thought it might be helpful
5 to highlight that a bit.

6 Okay. So now a couple of analytic
7 issues that you see in the studies. And again, you
8 have to tease them out but I hope I've teased them out
9 sufficiently to explain them to epidemiologists and
10 non-epidemiologists alike. The first one has to do
11 with the things you do in the analysis creating a
12 bias. Let's start with epidemiology 101, you know,
13 case control and cohort designs are related. And
14 every case control study can be conceptualized within
15 a cohort study, a hypothetical cohort.

16 I'm going to talk a little bit not to
17 illustrate what I'm trying to say looking a multiple
18 myeloma study that was done in Iowa as a case control
19 study. You go to the Iowa Cancer Registry, you
20 identify all the multiple myeloma cases who have
21 occurred. And hypothetical cohort there is the person
22 time experience in Iowa. Because it's too rigorous to
23 enroll everybody in Iowa, you sample. And so, you

1 sample from the population in Iowa just controlling
2 for age and sex and all that stuff.

3 What you're trying to do is get a
4 population that is representative of the population
5 that gave rise to the cases. And the controls in this
6 context are supposed to provide an estimate of the
7 exposure prevalence in the population that gave rise
8 to the cases. If you've done this correctly the ratio
9 of exposure odds for cases and controls estimates what
10 you would get from a cohort study like the Ag Health
11 Study where you compare the ratio of disease incidence
12 for the exposed participants versus the unexposed
13 participants.

14 The two Swedish case control studies,
15 in their analysis, they defined unexposed as no
16 exposure to Glyphosate or any other pesticides. But
17 the population that gave rise to the cases included
18 those with exposure to other pesticides. What does
19 this do? I wasn't able to trace all the numbers in
20 Ericsson, I wasn't able to trace all the numbers in
21 Hardell, but I was able to trace all the numbers in
22 Brown, et. al., which is one of the studies that the
23 agency considered for multiple myeloma. And so, this
24 is the hypothetical cohort I was talking about.

1 The multiple myeloma cases were
2 identified from the cancer registry and the controls
3 were randomly selected from the Iowa population to
4 have the age and sex distribution from the cases. And
5 so, if you just take the populations as selected and
6 you look at the proportion who had exposure to
7 Glyphosate you get six percent of the cases were
8 exposed and six percent of the controls were exposed,
9 odds ratio of one. But when they actually did their
10 chemical specific analysis they defined unexposed as
11 non-farmers which I'm using as kind of analogous to
12 using unexposed as no pesticide experience.

13 And you can see by doing that they
14 excluded 58 percent of the cases and 52 percent of the
15 controls. That changes the exposure prevalence's that
16 you sampled already and now the exposure prevalence is
17 higher for the cases for Glyphosate than it is for
18 controls. And because you've taken all these other
19 exposures out the analysis you can't control for other
20 farm exposures. And you can introduce confounding by
21 comparing people who are primarily working and living
22 on farms with people who aren't working and living on
23 farms to generalize that to the cohort context.

1 It's hard to know in Ericsson because
2 he does say there's one analysis that's multivariate
3 the other ones are considered to be univariate
4 controlling, I think, for sex and for age or for year
5 that the case was detected. It's hard to know whether
6 their multivariate analysis included all the exposures
7 or just modeled the exposures in this limited
8 population where you've excluded everybody who didn't
9 have exposure. I think it would be worthwhile to
10 inquire from Dr. Ericsson how that was done.

11 But in any event, this practice of
12 excluding of from the unexposed group people who don't
13 have exposure to other pesticides can create a bias in
14 the analysis. We call that selection bias in the
15 analysis and it's illustrated, again, in our article
16 that appeared in 2016. Okay. Want to talk a little
17 bit about latency. There's been some talk about the
18 Ag Health Study not having long enough follow-up
19 compared to the case control studies and I just want
20 to be clear about the terminology.

21 Epidemiologists divide the period from
22 first exposure until disease detection into two
23 separate periods. The first one is the induction
24 period, that's the period of causal action of the

1 chemical exposure. The latent period is the period
2 from when it's caused until it's detected. Typically,
3 it's hard to know where one begins and the other
4 starts. But typically, in chemical carcinogenesis
5 studies you see 20 years or so for many exposures. And
6 there the term is being used loosely, latency is being
7 used to mean induction and latency. This is a chart
8 that I took from the OPP website and included in an
9 article I wrote in a 2003 and I can't read it. I'm
10 sure you can't read it either.

11 But it just shows the progression of
12 different pesticides in terms of their rank in terms
13 of pounds applied. And Glyphosate was approved in
14 1974, it cracked the top 20 of pesticides in 1987, and
15 then it became one of the top five pesticides of 1997.
16 But there were periods after initial registration
17 where it wasn't widely used. And I think the agency
18 said yesterday, you know, the epidemiology studies
19 will become more informative as you get into periods
20 where Glyphosate use is a little bit more frequent and
21 the people who use it have ore days of use, et.
22 cetera.

23 But you really can't tell in any of the
24 studies how long it's been for the cases or how long

1 it's been for cohort members from first exposure until
2 when their follow-up has been completed. I tried to
3 put all the studies on the same basis. The only thing
4 I could think of that would put all the studies on the
5 same basis is just to say when the cases were detected
6 and then to calculate the year since Glyphosate
7 approval. And that would be the maximum amount of
8 time that could have passed that would be
9 represented in the data that's included in that
10 analysis.

11 And so, the study that sticks out first
12 of all is that De Roos 2003 pooled case control study
13 which was a very sophisticated analysis. But 83
14 percent of the cases in that analysis were diagnosed
15 between 1979 and 1983. And I don't know when their
16 first exposure was but their maximum time since first
17 exposure could have been nine years. But it's
18 unlikely that all of those cases ran right out when
19 Glyphosate was approved and applied it then. It's
20 probably some number that's much less than that. The
21 De Roos et al. study sticks out in terms of a short
22 time from potential follow up or short latency as
23 people are saying.

1 That study gets 16 percent of '08 in
2 the meta-analysis. And looking at just how soon those
3 cases were detected after Glyphosate was on the market
4 it's hard to imagine that it's informative with
5 respect to Glyphosate. You can see the two other
6 studies that were relatively early on in terms of the
7 case detection have a maximum of about 17 to 20 years
8 or 13 to 16 years or 13 to 18 years. But in terms of
9 the potential maximum amount of time that's elapsed
10 for people who are in the cohorts or in the case
11 control studies you can see that the Agricultural
12 Health Study spans from 19 to 27 years which isn't
13 that different than the other studies.

14 In pharmacoepidemiology we often use a
15 new user design, and in that case if you only had
16 eight years of follow-up you would only have eight
17 years of follow-up. But the Agricultural Health Study
18 kind of intercepts farmers in the middle of their
19 lives. They've all had histories. And I know from
20 our farm family exposure study, our average farmer age
21 was 45 and they average 24 years of pesticide
22 application as of age 45. I think this issue of how
23 short the follow-up is in the Ag Health Study misses

1 the amount of exposure experience and time since first
2 exposure that's in the Ag Health Study.

3 And at least on this basis it seems to
4 comport pretty well with the longest of the case
5 control studies. Okay. The last thing I want to talk
6 about was meta-analysis and what it means to use a
7 random effects model and what the implications are for
8 interpreting the p-value. There was a lot of
9 discussion yesterday and there's been some comments to
10 the docket about whether or not a meta-analysis was
11 statistically significant. I thought this might be
12 helpful. All students in epidemiology who take
13 advanced methods train with a textbook like *Modern*
14 *Epidemiology* which Ken Rothman and Sander Greenland
15 wrote.

16 And when I teach pharmacoepidemiology,
17 I actually use Greenland's original paper on this.
18 Greenland here is trying to explain the difference
19 between interpreting a p-value in a randomized study
20 and in an observational study. If you're doing
21 clinical trials you're randomizing patients to a
22 treatment or a control in an attempt to have the
23 prognosis be the same in both groups. Because if the
24 prognosis wasn't the same you couldn't assess the

1 effect of the drug adequately. But as Greenland
2 points out in this article because it's random the
3 prognosis isn't always going to be the same.

4 You could have studies where the group
5 that gets treatment has the worst prognosis going into
6 the study or the group that gets the placebo has the
7 worst prognosis going into the study. But because
8 it's random if you repeat the studies many, many,
9 times these things will average out. And as Greenland
10 shows in his paper with mathematical proof, also, a
11 logical proof, you will center on the right value.
12 And in that case the p-value has it's intended meaning
13 which is the frequency of seeing results as extreme or
14 more extreme than those observed if the known
15 hypothesis is true.

16 That's the definition of a p-value that
17 that you learn early on. However, Greenland goes on
18 it to say that that's not the same thing as looking at
19 a p-value in observational studies where you could
20 have recall bias, you could have uncontrolled
21 confounding, you could have selection bias. And he
22 says interpreting those p-values at face value can be
23 very misleading. So, just thing that's important when
24 we think about the meta-analysis that's been done. If

1 you look at the studies and their characteristics
2 which I think the agency detailed very well, the case
3 control studies all have the potential for a recall
4 bias but the Ag Health Study doesn't.

5 There is selection bias in the Ag
6 Health Study and also in the case control studies. No
7 proxy respondents in the Ag Health Study but three of
8 the six case control studies have an appreciable
9 number of proxy respondents and the confounding
10 control was very extensive in the Ag Health Study.
11 But it was poor in the five to six case control
12 studies. The only case control study that my expert
13 panel thought was a good extensive confounding control
14 was De Roos. So, you know, De Roos is a very skilled
15 data analyst.

16 She brought the same really advanced
17 thinking in terms of analysis to both of those
18 studies. All the meta-analysis, which again focused
19 on ever/never use which is probably not the most
20 informative analysis that's been done in any of the
21 studies used a random effects model. And if you read
22 Greenland's chapter on meta-analysis he says, "When
23 differences between studies are likely due to
24 systematic factors, the assumptions underlying random

1 effects model are violated." And so, we can use the
2 same example he used in his paper about randomization,
3 if things are random they equalize with time.

4 But you have six studies that have the
5 potential for recall bias, you have one study that
6 doesn't. That would be like combining clinical trials
7 that are double blind with double blind with clinical
8 trials that aren't blinded. The probability model you
9 need to evaluate that is not a random effects model.
10 Greenland advocates things like it using external
11 factors to adjust and to try to get the systematic
12 error out of the studies you're using. He also says
13 that these heterogeneity tests aren't very powerful
14 for picking up systematic differences of this type in
15 epidemiology studies.

16 He says kind of a common-sense
17 approach, if you've can see the studies are different,
18 frankly different, then you have to question whether
19 you're going to combine them regardless of what the
20 heterogeneity test tells you because the heterogeneity
21 test is insensitive to these kinds of things. Okay.
22 So just to conclude. I've rambled on a little bit
23 about a few topics but I hope they're helpful. I
24 thought the agency identified all of the relevant

1 studies. I thought it's good to try to figure out
2 what the highest quality information is.

3 And, you know, if you think about the
4 agency contrasting Ericsson with the Ag Health Study
5 that's like a meta-analysis of two studies that you've
6 considered to be a high quality. And I guess that was
7 the basis for the agency saying the two highest
8 quality inputs that we had conflicted and that's why
9 we think you can't make a conclusion. But in any
10 event, I thought that what the agency said in terms of
11 their conclusions was appropriate given the data. And
12 I thought their review was very good. It was
13 certainly at least as good as my expert panel did.
14 Thank you.

15 **DR. JAMES MCMANAMAN:** Okay. I think
16 that the past two presentations are kind of grouped
17 broadly in topic. I think we can open it up to
18 questions to the previous two presenters if there are
19 any from the panel. Yes. Dr. Johnson?

20 **DR. ERIC JOHNSON:** So in the past year
21 in many of these industries which manufacture
22 chemicals the earlier days most of the processes were
23 open processes. I think you mentioned that in the
24 case of Glyphosate you actually went to the factory

1 and it was a closed process. I would like to know
2 whether it has been a closed process right from the
3 very start or did it initially was an open process and
4 then later converted to closed process?

5 **DR. JOHN ACQUAVELLA:** Well, the
6 production of commercial volumes was always a closed
7 process. I'm not an engineer but I've walked through
8 plants enough to know that often times before you
9 scale up a process to produce commercial quantities
10 you'll have a pilot operation. It is possible that
11 that was done for Glyphosate to develop all the
12 information needed to scale up for commercial
13 production but that would have involved very few
14 workers. And I don't remember during our assessment
15 whether we did identify that there was a pilot
16 operation.

17 Sometimes those aren't closed. But it
18 would involve very few workers and it would have been
19 for a very short period of time.

20 **DR. ERIC JOHNSON:** Well, I mean, I
21 don't quite agree with you that it's only for the
22 pilot project that were only open processes. Because
23 I remember for the Dioxin herbicides I also visited
24 some of these companies. They were open processes in

1 which people were actually going to open vats to do
2 cleaning and all that. There were a lot of open
3 processes I observed. It was later on that they
4 converted to really closed system in which exposure
5 was really low and only few workers involved. I think
6 it's critical for us to know whether Glyphosate
7 manufacture was closed throughout the manufacturing
8 stage.

9 **DR. JOHN ACQUAVELLA:** Yeah. I'm almost
10 certain it was. And like is said, it becomes
11 Glyphosate at the end of this process, right. There
12 are other parts of the process where chemicals are
13 being mixed, all sort of stuff, and the chemical
14 engineering is taking hold. And as I said my
15 recollection, I didn't know we were going to be asked
16 this question so I'm just operating on memory. It's
17 been a closed process, and that the number of workers
18 who would have worked on the part of the process where
19 it was Glyphosate was few.

20 There was a canning operation. And
21 probably if you were thinking about where the most
22 exposure was it would probably be in canning; because
23 sometimes you have to get in there and it's spilling
24 out of a can or whatever you're doing there could be

1 something. But with time that's become more and more
2 automated as well. I just don't think that there are
3 that many workers who have had the chance to have that
4 much contact with Glyphosate.

5 **DR. ERIC JOHNSON:** Could you clarify
6 for me whether the people who are involved in the
7 manufacture of Glyphosate were actually studied in a
8 cohort study at Monsanto. Do you know of anywhere
9 else, in other companies where workers who are
10 manufacturing Glyphosate were studied in a cohort
11 study?

12 **DR. JOHN ACQUAVELLA:** Yeah. Well, I
13 mean our mortality study included some people who had
14 worked in the process. But for a lot of our, I say
15 our, I haven't worked for Monsanto for 15 years.

16 But for a lot of studies that have been
17 done of Monsanto work forces, you know, you use Social
18 Security tax records to make sure you've enumerated
19 everybody from the start of the plant. There have
20 been studies where we've gone back into the 1920s, and
21 the 1930s and enumerated everybody using the Social
22 Security 941 forms to make sure that nobody has been
23 missed. And then we followed them for a lot of years.

1 In this case, because we didn't see
2 that there was any Glyphosate exposure, I think we
3 used the convention of taking all employees who were
4 employed as of 1980. The process started in '74 and
5 we followed them I think it was through 1996. We
6 could conceivably have missed. We didn't have
7 complete enumeration of everybody who has always
8 worked at the plant. And like I said, our exposure
9 assessment and feasibility didn't lead us to believe
10 that we could actually do a study of Glyphosate. We
11 did it more because there's a lot of due diligence
12 that goes on and a lot of interest in the plant
13 populations about how their health is.

14 And it was more for our internal
15 purposes to make sure that there wasn't anything going
16 on that maybe you miss by just walking around the
17 plant and trying to decide what's going on. And it's
18 information that we shared with the workers and with
19 the community. The community also had a lot of
20 interest in the experience of workers.

21 **DR. ERIC JOHNSON:** That was a published
22 study?

23 **DR. JOHN ACQUAVELLA:** No. A cohort
24 study of 600 people who have overall mortality that's

1 50 percent of the general population and where you
2 have 10 cancers expected but you only saw five, that's
3 not the kind of an epidemiology study that you could
4 get into a journal. And it really wasn't done to be a
5 publishable study.

6 **DR. ERIC JOHNSON:** Right. And that was
7 the complete workforce who were involved in the
8 manufacture?

9 **DR. JOHN ACQUAVELLA:** This is all from
10 memory, I haven't read the report in many years.
11 Anyone who was employed I'd say 1980 followed through
12 I think 1996.

13 **DR. ERIC JOHNSON:** Okay. 1980? Thank
14 you.

15 **DR. JAMES MCMANAMAN:** Okay. Any other
16 questions? All right. Thank you very much. We'll
17 move on to the next presenter.

18 **DR. JAMES BUS:** Good morning. My name
19 is Jim Bus and it's a pleasure here again to be this
20 morning making this presentation. The focus of my
21 presentation this morning will be addressing the set
22 of sub questions that are associated with charge
23 question three which is how basically EPA handled the
24 treatment of the animal carcinogenicity studies. And

1 the sub questions I'll touch on each individually
2 throughout my presentation. And the first of those of
3 course is EPAs overall review and evaluation of the
4 relevant laboratory and animal carcinogenicity
5 studies.

6 Number one, it was an appropriate
7 treatment of the nine rat and six mouse cancer
8 bioassays for consideration for the weight of evidence
9 analysis that was used. However, we should note to
10 the Science Advisory Panel that one of the studies,
11 the earliest one, Barnett in rats, published in the
12 1970s was indeed conducted with a Glyphosate
13 contaminant and not Glyphosate. We would just remind
14 that that particular study was not a Glyphosate
15 bioassay. The EPA did take an appropriate and use an
16 appropriate reliance on its guidelines for carcinogen
17 and risk assessment.

18 And the appropriate carcinogenicity
19 test guidelines found in test methods for conducting
20 animal bioassays. And overall there were appropriate
21 weight of evidence conclusions that Glyphosate is not
22 a carcinogen in any individual rat or mouse study or
23 an animal carcinogen in an integrated weight of
24 evidence analysis of all the studies. And of course,

1 the use of a weight of evidence approach is one that
2 is openly prescribed in the EPA's 2005 cancer risk
3 assessment guidelines.

4 And those weight of evidence
5 evaluations certainly call for the integration of a
6 variety of different sets of data sets in order to
7 assess the overall potential for carcinogenicity in
8 animals. Those considerations certainly include the
9 appropriateness of dose selection to real world
10 exposures that happen in humans, the occurrence of or
11 evidence of pre-neoplastic or non-neoplastic lesions
12 to support those tumor findings if they indeed are
13 observed, the evidence of potential progression to
14 malignancy across the tumors that are reported and
15 analyzed.

16 Most importantly of course, the
17 ability, if you have a data rich set such as
18 Glyphosate the potential for reproducibility of those
19 tumor findings across studies. And lastly of course
20 is the consideration relative to the actual
21 interpretations of the study per se are relative to
22 the use of historical controls and how that might
23 further inform the significance of those tumors. And
24 closely related to that of course is the statistical

1 evidence associated for dose response or the overall
2 on tumor incidents.

3 Taking a look at the animal studies
4 that the agency has identified for review for animal
5 carcinogenicity, as you heard yesterday and we're
6 discussing actively, there are nine rat studies and
7 six mouse studies. As I've just reminded you the
8 first study, Barnett, is not one of a Glyphosate
9 bioassay. All of these studies were done using
10 Glyphosate acid. The reason for that is it's well
11 recognized that salts of agricultural chemicals
12 including the one that you were discussing yesterday,
13 the Isopropylamine Salt.

14 Once they are introduced into a
15 biological environment they immediately dissociate
16 into the parent compound under those physiological
17 conditions. That type of dissociation is readily
18 apparent from toxicokinetic studies that have been
19 conducted with Glyphosate and biomonitoring studies in
20 the human population, which primarily indicate the
21 only material detected in blood or in urine is
22 Glyphosate acid. Turning to the first key issue that
23 is obviously of consideration relative to the overall

1 weight of evidence evaluations of the carcinogenicity
2 studies.

3 And that is that the evaluation of dose
4 selection in the studies and was it appropriate for
5 interpretation of potential carcinogenicity. The key
6 question of course which was under discussion
7 yesterday and which I'll reiterate here is did these
8 studies indeed use adequately high dosing. And the
9 answer as you can see from this table surrounded by
10 the red boxed colors, the answer is yes for 11 of the
11 15 studies. But despite such high dosing, acceptable
12 dosing, there were no statistical significance by
13 pair-wise comparison to any of those high doses
14 observed and reported in these studies.

15 The question of course that is key to
16 any cancer bioassay evaluation is did the top dose
17 indeed meet or exceed the limit dose of 1,000
18 milligrams per kilogram per day. And again, you can
19 readily see that the answer is yes for 10 of those 15
20 studies. The EPA throughout its evaluation generally
21 gave less weight to tumor findings that were observed
22 at or significantly above that limit dose.

23 Particularly when doses are well separated from human
24 exposure.

1 There has been some comment to the
2 docket, as well as what was discussed here yesterday,
3 that EPA might have deviated from its own guidance
4 relative to the use of 1,000 milligrams per kilogram
5 limit dose. In fact, there is very specific guidance
6 prescribed in the animal testing guidelines for
7 carcinogenicity which specify the selection of 1,000
8 milligrams per kilogram per day limit dose as it
9 relates to human risk assessment. The first bullet
10 that I have up there describes the guidance found in
11 the EPA chronic toxicity and carcinogenicity test
12 guidance which sets the dose of 1,000 milligrams per
13 kilogram per day; and I emphasize here, "unless there
14 is expected human exposure", may indicate the need for
15 a higher dose level.

16 That same position is mirrored in the
17 OECD guidance for testing of chronic toxicity and
18 carcinogenicity studies in rodents which is the OECD
19 453 guidelines. And that explicitly states a limit of
20 lot 1,000 milligrams per kilogram body weight per day
21 may apply except when human exposure indicates the
22 need for a higher dose level to be used. Now, as Dr.
23 Acquavella just mentioned we do have available to the

1 scientific community and the agency as well a number
2 of human exposure studies conducted by biomonitoring.

3 These are high quality studies and they
4 provide a very accurate estimate of what the potential
5 daily exposure to Glyphosate might be under a variety
6 of different exposure conditions. But the average
7 real world human external dose, if you look across all
8 these biomonitoring studies, translates to a general
9 does that is less than .0005 milligrams per kilogram
10 per day. And of course, you can readily do the
11 arithmetic given that scenario that the human exposure
12 then across these biomonitoring studies is more than
13 two million-fold lower than a 1,000 milligram per
14 kilogram limit dose used in the Rhoden bioassays.

15 Certainly, this human exposure
16 information provides key data that suggests that the
17 1,000 milligrams per kilogram per day limit dose was
18 indeed appropriate when considered in the context to
19 demonstrated human exposures.

20 Let's turn to another sub question that
21 the panel was charged with addressing and that is
22 EPA's conclusions regarding the absence of
23 preneoplastic or related nonneoplastic lesions and a
24 lack of progression to malignancy. If you look at the

1 animal bioassays you find that the terminal sacrifice
2 data in both rats and mice do not show any evidence of
3 tumor promotion.

4 But more importantly, particularly in
5 the rat studies, most of them in fact all of them had
6 interim sacrifice data that allowed further
7 exploration of potential preneoplastic lesion. And
8 none of those types of lesions such as cell
9 proliferation or evidence of cytotoxicity were
10 apparent in those interim sacrifice animals. And then
11 ultimately if you both the terminal sacrifice data
12 with the interim sacrifice data you can see that the
13 combination of those two comparisons lead clearly to
14 the conclusion that there is little evidence, if any,
15 of malignant progression to tumors.

16 Based on these criteria in part, EPA
17 found no evidence of carcinogenicity in any study.
18 Turning to another sub question as part of the review,
19 and that is EPA's interpretation of conflicting
20 evidence and reproducibility across the multiple
21 bioassays that are available for Glyphosate. And this
22 particular comparison and consideration is very
23 important for Glyphosate because it's very unusual in
24 the world chemical testing whether it's pesticides or

1 chemicals in general. And then Glyphosate is an
2 extremely data rich compound with respect to obviously
3 carcinogens bioassays being available for it.

4 There is that opportunity to again
5 examine very closely for evidence of reproducibility.
6 EPA correctly looked across the studies to evaluate
7 for both consistency and coherence. And when those
8 data are evaluated in totality there's no consistent
9 findings across the studies. By way of example there
10 is differing or a total absence of tumors in multiple
11 differing studies. Obviously providing clear evidence
12 of a lack of replicability. There was also no
13 coherence as the tumors were often observed only in
14 one sex or in one species.

15 And then lastly, and of course
16 importantly, the lack of statistical significance when
17 adjusted for multiple comparisons. And as I'll
18 address here in just a few moments additional
19 consideration of whether the tumor findings indeed
20 represent rare or common tumors in the rodent studies
21 that were conducted. I'm going to speak briefly with
22 respect to the EPA's methodology regarding its
23 interpretation and use of statistical analyses. The

1 EPA did indeed appropriately calculate all statistics
2 based upon the data they had available to them.

3 And the absence of statistical
4 significance is further evidenced by the impact when
5 you bring in considerations of rare versus common
6 tumors on the ultimate pair-wise and trend tests that
7 were conducted for these bioassays. Let me provide a
8 particular piece of information which I think is
9 important for interpretation of animal bioassays.
10 It's recognized that obviously, these bioassays can be
11 subject to excessive false positives. Particularly
12 that can be an issue when you have common tumors in
13 this strain of animal that you might be examining.

14 As a consequence of that, as early as
15 1983, Dr. Haseman, when he was at the National
16 Toxicology Program and later in the FDA in 2001,
17 developed a series of decision rules modeled to help
18 facilitate interpretation of animal bioassay data.
19 Based upon whether the tumors that were observed in
20 the animals are either rare in terms of their
21 incidence in background animals. And that's defined
22 as a rate of less than one percent in the animal
23 population, or common or greater than one percent.

1 And as a consequence of those decision
2 rules, which were based upon their examination of a
3 broad spectrum of animal bioassays that were available
4 within the National Toxicology Program, or that had
5 been submitted to the FDA as part of a drug
6 registrations, the NTP via Dr. Haseman's conclusions
7 came to the conclusion, that if you had a rare tumor
8 in your control population, it was indeed appropriate
9 to use the traditional and conventional p-value of
10 0.5.

11 However, if you had a common tumor, in
12 order to avoid excessive false positives in your
13 statistical evaluation for your pair-wise comparison,
14 Dr. Haseman recommended that a p-value of 0.01 be
15 selected for evaluation for statistical significance.
16 Likewise, the FDA extended that type of thinking into
17 trend-wise comparisons. Where, as the result of
18 analysis of bioassay data that they had available to
19 them they concluded that for rare tumors it would be
20 more appropriate to use a p-value of 0.25 to
21 established a statistical significance and for common
22 tumors to drop that to 0.005.

23 The EPA issue paper that you have in
24 front of you with respect to the treatment of

1 Glyphosate used the conventional 0.05 for both their
2 trends and pair-wise comparisons. Addressing a little
3 bit more about the statistical adjustment
4 considerations for rodent bioassays. EPA of course
5 did use Saitak method in their pair-wise comparison.
6 And as a result, that did make a difference in terms
7 of the ultimate establishment of statistical
8 significance in pair-wise comparisons.

9 However, the Saitak method, as I just
10 described, does not consider the impact of rare versus
11 common tumors on the potential statistical evaluation
12 of those rodent bioassays.

13 And as I just mentioned, Dr. Haseman in
14 1983 supported a pair-wise p-value adjustment to 0.01
15 for common tumors. And likewise, the FDA, as part of
16 their now FDA guidance adjusts p-values for trend
17 tests of rare and common tumors based upon the
18 assumption that the rodent bioassay that they have
19 submitted to them include at least two species studies
20 with two sexes in both species. And of course, for
21 Glyphosate as you well know we have 15 studies that
22 evaluate Glyphosate for carcinogenicity. Certainly,
23 that criteria is fulfilled.

1 And of course, as some of the
2 conversation was placed on the table yesterday the
3 availability of those extensive numbers of animal
4 bioassays also creates additional statistical
5 consideration which Dr. Haseman, who will be speaking
6 immediately after me, will address in more detail.
7 Obviously, the establishment of historical control
8 data can be used to inform the significance of tumor
9 findings. And in the EPA issue document they did
10 indeed use historical control incidents and they
11 applied it to four of the tumor types.

12 And that was of value in terms of
13 further informing the relevance of those potential
14 tumor types as being treatment related. But in
15 addition however, as you could tell from what I have
16 just been describing the historical control data
17 that's available across rodent species and strain also
18 provides important insights in terms of whether a
19 tumor is likely to be a rare or common tumor. The
20 question then arises what is the potential impact if
21 those types of adjustments for both pair-wise and
22 trend comparisons, based upon either the tumor type
23 being common or rare, would make on the ultimately

1 statistical comparisons that the EPA issues document
2 develop?

3 It's important to note that all of the
4 tumor types that were examined and considered by any
5 agency were indeed regarded as common tumor types if
6 you use the definition of an incidence greater one
7 percent in the animal background population. What is
8 the impact then of those types of decision rule
9 changes based upon either the FDA guidance for
10 treatment of trend values or the Haseman guidance with
11 respect to decision rules on pair-wise comparisons?
12 In the EPA analyses, there was only one study with a
13 significant pair-wise comparison established with a
14 Saitek correction.

15 Of course, that was the Lankas study in
16 1981 and it was a response in testes. If you look at
17 that same tumor type and you use the cutoff point of
18 0.01 for a common tumor which the testes response is
19 you find that that is no longer significant using that
20 particular decision rule. And of course, the testes
21 tumor that was observed in the Lankas study was used
22 and observed only at a high dose in that study which
23 was significantly lower than the high doses used in
24 the eight other rat bioassays.

1 And of course, the testes response that
2 was observed in that bioassay was not replicated in
3 the other rodent bioassays as well. There were nine
4 additional tumor sites with significant trend test
5 that were positive under the EPA criteria of P equal
6 to 0.05. However, if you use the trend test criteria
7 as recommended in the FDA guidance for common tumors
8 you find that only two tumor trends, that of the male
9 mouse hemangiosarcoma and the female mouse hemangioma,
10 remain statistically significant under that FDA
11 decision rule treatment.

12 And of course, both of those studies
13 included a top dose of equal to or greater than 1,000
14 milligrams per kilogram per day. And one of those
15 studies of course the top dose was above 4,000
16 milligrams per kilogram per day. Those studies also
17 had particularly low tumor incidences in their
18 concurrent controls despite clear evidence that those
19 tumor types are common tumors in the general animal
20 population that's available within the literature. In
21 the last slide, then with respect to the sub question
22 regarding the EPAs conclusion that tumors observed at
23 high doses are not relevant to human health risk
24 assessment.

1 There are several considerations that I
2 believe support this conclusion. The high doses in
3 bioassays are substantially separated from real world
4 human exposures and the EPA RFS of 1.75 milligrams per
5 kilogram per day. As I mentioned in an earlier slide
6 the 1,000 milligrams per kilogram dose used in these
7 bioassays was two million-fold higher than exposures
8 readily identified in human biomonitoring studies.
9 Additionally, no pair-wise tumor responses were
10 statistically significant at those high dose levels.

11 The high dose tumor findings were
12 limited to a single sex and/or species. And most
13 importantly, which is key to any scientific
14 evaluation, were not replicated across the wide body
15 available rat and mouse bioassays. Also, not shown
16 on this slide, but I think is worthwhile mentioning,
17 that there's also a lack of mechanistic plausibility
18 associated with Glyphosate being an animal or human
19 carcinogen. The compound is nongenotoxic and you'll
20 be hearing more about that in just a few moments.

21 As was discussed yesterday, Glyphosate
22 is not regarded as an immunotoxicant in specific tests
23 designed to evaluate for immunotoxicity. As well as
24 when you look at the generally toxicity studies for

1 Glyphosate you find no evidence in the overall
2 histopathology or the clinical chemistry that would
3 suggest that Glyphosate would be an immunotoxicant.
4 There's also no evidence of the preneoplastic lesions
5 or other type of events such as cell proliferations or
6 cytotoxicity which would suggest that Glyphosate might
7 have a mechanistic event accounting for
8 carcinogenicity.

9 Of course, it has been suggested that
10 oxygen stress may play a role. And I'll be speaking
11 to you later this afternoon with respect to the
12 plausibility of that particular mode of action. And
13 lastly, let me close also with the observation that as
14 you look across these studies you'll find little
15 evidence of exposure plausibility for Glyphosate being
16 a human carcinogen as well. With the reminder that
17 the high doses used in this study, 1,000 milligrams
18 per kilogram across these studies is two million-fold
19 higher than doses that are routinely observed in high
20 quality human biomonitoring studies. Thank you.

21 **DR. JAMES MCMANAMAN:** Maybe we ought to
22 take a break now and then come back because we're
23 close to the break time. Fifteen-minute break; be
24 back here at 20 until.

1 [WHEREUPON A BREAK WAS TAKEN]

2 DR. JAMES MCMANAMAN: All right. We
3 can move on to the next presenter then.

4 DR. HASEMAN: I'm Joe Haseman. And for
5 more than 30 years I was the statistician at the NTP
6 that was primarily responsible for the design analysis
7 and interpretation of the rodent cancer bioassays that
8 they carried out. And I'm listed as a contributor to
9 approximately 300 of the technical reports that
10 reported the results of their studies. My focus of
11 my presentation today will be on two things, first my
12 own statistical analysis of the Glyphosate tumor data
13 and secondly a commentary on other statistical
14 analyses of the data that has been presented in the
15 comments to the OPP report by Dr. Chris Portier and
16 Dr. Bob Terone.

17 The Glyphosate rodent studies
18 considered by the EPA consisted of nine rat studies
19 and six mouse studies. And because of the huge number
20 of tumors within each of those studies there were
21 literally hundreds of potential tumor trends examined.
22 It's going to be inevitable that when you look at all
23 those tumor trends across 15 studies you're going to
24 find some significant trends, that's inevitable. Some

1 of them may just be just due to chance, others of them
2 could be reflecting real carcinogenic effects.

3 And by the way, I am going to focus on
4 the trend because the trend is the most sensitive test
5 for detecting carcinogenic effects. My results will
6 be predicated on the results of trend tests. In
7 trying to evaluate these multiple studies there are
8 several key questions you need to answer. First of
9 all, does the overall frequency of significant trends
10 reported in these studies exceed what you would expect
11 to see just by chance alone?

12 A second key question is given that
13 you're having some significant trends do you see a
14 consistency of the trends with regard to tumor type or
15 are they just sort of a random distribution of tumors
16 that happened to be significant by the trend test?
17 And then the third question is regardless of those two
18 questions is there any tumor trend that's so
19 significant that it's just virtually impossible for it
20 to be a chance occurrence? And with that in mind I
21 set out to answer these three questions for the
22 Glyphosate data.

23 Now in order to do that, what you need
24 to know is how many trend tests were actually carried

1 out for the Glyphosate data. And originally, I had
2 data from two of the nine rat studies and two of the
3 mouse studies. And since that time, I've gotten data
4 from four of the other rat studies and a third mouse
5 study. The numbers you'll see on the next overhead
6 have been updated to reflect the more extensive data.
7 And in order to be counted there had to have been the
8 opportunity for there to have been a meaningful trend
9 test.

10 And by meaningful trend test, I mean a
11 trend test requires a minimum of three animals in
12 order to have a chance of being significant by an
13 exact test. If you only have one or two animals, no
14 matter how they're distributed, you're not going to
15 get significance. I didn't count those in my
16 calculations. I just counted those that occurred in a
17 sufficient number of animals for the trend test to
18 have been significant.

19 Just to give you some idea, just some
20 flavor of the number of tests involved; this is just a
21 typical male rat study and the tumors that permitted a
22 meaningful trend test: Adrenal gland, cortical
23 adenoma and carcinoma and then the two combined
24 adrenal gland pheochromocytoma, brain glioma, liver

1 adenoma carcinoma and then the two combined.
2 Pancreas, islet cell adenoma carcinoma combined,
3 pancreas acinar cell tumors, mammary gland adenoma,
4 adenocarcinoma, parathyroid gland adenoma, pituitary
5 gland adenoma carcinoma, skin keratoacanthoma, skin
6 squamous cell tumors, subcutaneous tissue, fibroma
7 fibrocarcoma, testes adenoma, thyroid follicular cell
8 adenoma carcinoma combined, thyroid C-cell adenoma
9 carcinoma combined, all sites lipoma liposarcoma
10 combined, and reticulum cell sarcoma.

11 By my count that's 36 tumors and you
12 can do the math across the 30 studies. Fortunately,
13 it didn't come out to be quite that high. But that
14 just gives you a flavor of you must have an
15 appreciation of how many trend tests are possible
16 before you can accurately interpret the results that
17 were found in these studies.

18 What I found was that from those 15
19 studies there were 568 trend tests that were
20 meaningful that could have produced significant
21 results. And if five of them are significant by
22 chance you'd expect to see about 28 significant trends
23 and there were actually 11 that were noted by the EPA.

1 And I'm sure that many of you, when all
2 these slides were presented yesterday one tumor after
3 another, here's a trend significant, here's a trend
4 significant, here's a trend significant, we discounted
5 them all. But here they are, 11 significant trends.
6 You might have wondered, good grief how can you
7 discount so many significant trends? But what you
8 don't realize is that by chance you would produce at
9 least twice that number. Now I then refocused my
10 calculations not to all trend tests but to all trend
11 tests for unique tumor sites.

12 And by that, I mean at the liver you
13 can look at liver adenoma, liver carcinoma, liver
14 adenoma carcinoma combined. Three trend tests but one
15 tumor site and they're all sort of measuring the same
16 thing. If you reduce it to the tumor site only and
17 take the combination analysis, the adenoma carcinoma,
18 as the indicative analysis of a trend then the number
19 reduces down to 368 trend tests per site with 18
20 expected by chance and seven, which I'll go into in a
21 minute, which were found to be significant by the EPA.

22 And the strongest trend the EPA
23 reported was 002 and that is also consistent with what
24 you'd expect by chance. Now some people have

1 expressed a concern that the numbers are so different
2 between expected and observed. But in all honesty,
3 I'd have to say the differences aren't as big as those
4 numbers would indicate. For example, you had just
5 heard the previous speaker say that of the 15 studies
6 there was one rat study that shouldn't have been
7 counted. I took the tumor out of that study.

8 Another more subtle difference is that
9 the trend test, because of the discreteness of the
10 data, is not really operated at exactly the five
11 percent level. And I've done a lot of work to confirm
12 this for these data. It's operating overall at about
13 the four percent level. You apply both of those
14 adjustments to that 18.4 and it comes down to 13.5.
15 And you heard Dr. Crump yesterday said that he had
16 found some significant trends that the EPA has not
17 reported as significant, but he had found them.

18 Now I can't independently confirm that,
19 but I'm saying it's at least a possibility that those
20 seven observed trends might increase slightly. But
21 all that's doing is bringing the numbers together.
22 You can jiggle those numbers a little bit to bring
23 them closer together. But the important take home
24 message from this is that all these significant trends

1 that you are seeing in the Glyphosate data are totally
2 consistent but with what you'd expect to see by chance
3 because of the multiple number of tests being assessed
4 in each study.

5 I'm going to focus now on the answer to
6 the second of my questions. Okay, there were seven
7 significant effects which are less than chance but are
8 they the same tumor over and over again? And if they
9 were that might be cause for concern. But you see
10 they're in seven separate studies, different sex,
11 species groups, seven different targets sites. None
12 of them replicated and the EPA did not consider any of
13 them to be compound related.

14 In contrast, another analysis which
15 I'll be discussing later, found three of these that he
16 felt were significant: the thyroid tumors in the
17 female rat which I'll discuss momentarily and the
18 malignant lymphoma and hemangiosarcoma in the male
19 mouse which I'll also be discussing. One tumor you
20 don't see on this list is kidney in the male mouse.
21 That's because when analyzed appropriately none of the
22 trends were significant at five percent despite the
23 contrary claims. I'll also be talking about that
24 momentarily.

1 The answer to the three questions.
2 First key question, does the overall frequency exceed
3 what would be expected by chance? No. What about
4 there being a consistency of target sights? No. And
5 finally, is there one or more trends that are so
6 strong to statistically they'd be unlikely to occur by
7 chance? No. My conclusion is that Glyphosate is not
8 carcinogenic in mice and rats. And the significant
9 tumor trends you see are absolutely consistent with
10 what you'd expect to see by chance.

11 Now going into the second part of my
12 talk. There have been other evaluations of the same
13 data, that some of which agree with my conclusions and
14 some of which do not. Both Dr. Robert Terone and
15 Chris Portier are internationally recognized experts
16 in the field with much experience with rodent cancer
17 studies. Dr. Tyrone examined the data focusing on the
18 mouse because that seemed to be the species of
19 interest. He concluded that there was no convincing
20 evidence that Glyphosate renal tumors, lymphomas, or
21 hemangiarcarcomas in the male mice. Now Dr. Chris
22 Portier in his analysis reached a very different
23 conclusion. There's very strong evidence in mice for
24 these three tumors and oh by the way the thyroid

1 tumors in the female rats should be a positive finding
2 also. Now, since Dr. Terone's conclusion agrees with
3 my conclusion, the EPA's conclusion, the EFSA's
4 conclusion and the BfR's conclusion Chris seems to be
5 sort of the odd man out here.

6 And I want to go in a little more
7 detail into his analysis partly because the panel was
8 sent the results of his analysis and you've probably
9 had a chance to look at it. And I anticipate there
10 may be public comments later on his analysis since he
11 found such a different result from the rest of us.
12 And so, I'm going to spend the rest of my time looking
13 more closely at what he did. The first thing you have
14 to understand is that his trend test that he used was
15 an approximate trend test. And it's well known that
16 an approximate trend test exaggerates the significance
17 considerable, particularly for rare tumors, as much as
18 ten times.

19 A ten-fold difference in p-values
20 relative to the exact test. In fact, when applied to
21 the kidney tumor data in the early 1983 study, one
22 tumor only in the high dose group by Dr. Portier's
23 test is a significant trend. And two tumors as we'll
24 see later is a highly significant trend. But as I

1 mentioned earlier you need a critical mass of three
2 tumors really for an exact trend test to be
3 significant. His test is greatly exaggerating the
4 significance and that needs to be kept in mind.

5 And his p-values for the same tumors
6 were different than the EPA. And it led Dr. Portier
7 to the mistaken conclusion that the EPA was doing two-
8 sided tests rather than one-sided tests. But that's
9 just not true. The EPA clearly stated in their
10 written comments, "We did a one-sided Cochran-Armitage
11 test." And yesterday they stated verbally that it was
12 an exact test. And I'm pretty sure that's what they
13 did. What Chris was confusing was not a difference
14 between one tailed and two tailed test, it's a
15 difference between an exact test and approximate test.

16 The EPA was using an exact test and Dr.
17 Portier was not. Now Dr. Terone independently pointed
18 out in his written comments what Dr. Portier was doing
19 with his approximate test. And Dr. Portier responded
20 that, yeah, I agree with Dr. Terone that in cases
21 where tumors are rare the approximate p-value can
22 overstate the significance. Which is a real
23 understatement. But he continues to assert, yeah,
24 yeah, but even with the exact tests, my three tumors,

1 they are still significant when pooled -- and I'll
2 talk about the pooling in a minute, but actually it
3 does make a difference.

4 Consider the application of the
5 approximate and the exact trend test to the kidney
6 tumor data. Here is the kidney tumor data. And some
7 of these results the approximate test results were
8 reported in the presentations yesterday. That 002
9 trend in the Sugimoto study, I saw that flagged with
10 an asterisk in this case as highly significant but
11 it's not significant. None of those three trends that
12 Dr. Portier reported as significant by his approximate
13 tests are significant by an exact test.

14 And Dr. Portier admits that. He
15 recalculates all of his kidney tumor rates by an exact
16 test and now says yeah, yeah, yeah, none of them are
17 really significant but overall it is significant. But
18 what he fails to realize is that among other things
19 the Atkinson study -- Dr. Terone independently pointed
20 out, the most significant trend in his kidney tumor
21 data is the decrease in the Atkinson study. If you
22 did a one tailed test in the opposite direction that
23 would have been a significant trend. And these other
24 three trends are upward but they're not significant.

1 And the Kumar data wasn't even
2 considered by the EPA because I think there was an
3 infection or something in that study. That study was
4 not part of the EPA evaluation. If you take that
5 study out look to see what you have. You have two
6 studies where there was a very slight, marginally,
7 barely, not significant increase in kidney tumors.
8 You've got one where there's a significant decrease in
9 kidney tumors and one where there were no kidney
10 tumors at all. I think most interpretations of that
11 pattern of response would be no conclusive kidney
12 tumor effect overall.

13 Dr. Portier's evaluation of the same
14 data when he pooled by his test the upward trend is
15 significant at the 001 level. And I just don't
16 believe that when applied to this data. And I have
17 the same feeling when he does a pooled analysis of the
18 malignant lymphoma and hemangiosarcoma. And this just
19 repeats what I said. Note that none of the three
20 kidney tumor trends were apparently significant buy an
21 approximate test or significant by an exact test. And
22 the strongest and only significant trend is actually
23 an inverse trend. Now another problem had to do with
24 his use of the Poly-3 test.

1 The Poly-3 test is a very useful test.
2 The NTP uses it to evaluate its cancer studies and
3 when properly applied it can be a very nice tool to
4 use. What it does is it adjusts individual animal's
5 contribution to the tumor rate by taking into account
6 their survival. And the animal is weighted
7 differently depending on how much of the study he
8 survived. The longer he survived the more he would be
9 at risk for getting the tumor. That's a very useful
10 test to analyze data within a study. And as I say the
11 NTP uses it all the time.

12 But it does require individual animal's
13 survival data because you're adjusting the survival
14 for each individual animal. And it's really not
15 designed, as Dr. Portier uses it, to extrapolate
16 survival differences within one study of 18-months
17 which might be bad animal's natural lifetime to a 24-
18 month study which may be longer than the animals in
19 the 18-month study would be expected to live. But
20 that's another issue. But what does he do for his
21 Poly-3 rates? He doesn't have individual animal data
22 so he adjusts them in the following way.

23 He assumes in the 18-month studies that
24 all of the animals, the tumor free animals, survived

1 to the very end of the study, 100 percent survival in
2 all four groups, and adjusts the 18-month rate based
3 on that assumption. Well I've never seen a bioassay
4 with 100 percent survival across all the groups. I
5 don't think that adjustment means very much. What's
6 his Poly-3 adjustment for the 24-month studies? There
7 is none. There's no adjustment at all. He calls it a
8 Poly-3 rate but it's just an observed rate.

9 And you can confirm that by looking at
10 his tables two, four, and six where he compares the
11 trend for the adjusted to the unadjusted. For the 24-
12 month studies, they're exactly the same p-value every
13 time. And that's because he's not adjusting the rate.
14 I think that's at the very least misleading to call it
15 a Poly-3 rate when it's really not a Poly-3 rate. I
16 guess that's enough on Poly-3 for now. Now let's
17 consider his interpretation of the data itself. He
18 considers the thyroid C-cell tumors in female rats in
19 the Stout study to be a positive finding. There's the
20 data, two, two, seven, and six. He calls that a clear
21 dose-response.

22 Well it is significant, I'll concede,
23 at the four percent level. It's not monotonic. The
24 concurrent control rate is abnormally low. The normal

1 rate in controls is about the same rate seen at the
2 high-dose. And this significant trend, if that's the
3 best he could produce in hundreds of trend tests in
4 the rats, I think most people would conclude that that
5 is a false positive. That's exactly the sort of
6 result that would be a false positive. And that's the
7 only positive result that he claims exists in the rat
8 data.

9 Now the mouse data is a little bit
10 trickier because there are three tumors that show
11 hints of effects in certain studies. And what he does
12 to convince you that these are real effects is that he
13 combines the data. He looks at two sets of rates
14 which he combines, just literally pools them over the
15 studies. The first one is analysis of what he calls
16 observed or original rates. You've got two 24-month
17 studies and three 18-month studies. And he just takes
18 those and puts them together in one giant dose
19 response.

20 And you just cannot combine 18 and 24-
21 month studies. They may have very different tumor
22 rates. They're not comparable. You can't combine
23 them. And then he does a second analysis of the Poly-
24 3 rates where he pools over the studies the Poly-3

1 rates which are those flawed Poly-3 rates from the 18-
2 month studies based on the assumption of 100 percent
3 survival. And the observed rates which aren't even
4 Poly-3 rates from the 24-month studies. And that pool
5 of unrelated tumor rates is also not very meaningful.

6 And then he's left with a trend test
7 based on one control group and 15 doses because none
8 of the doses were repeated. He's got now, by lumping
9 them together, 15 doses in a control. He simplifies
10 this in some of his analyses by taking five of the
11 doses and pooling them together. For example, he
12 treats a 15 mg per kg dose in strain one in in an 18-
13 month study as equivalent to 20 times that dose, 300,
14 in strain two, a different strain in a different
15 duration study, 24 months. That's apples and oranges
16 and tangerines. You can't combine the doses in the
17 way he does either.

18 And it's just improper to pool data
19 over different strains. I mean he's got different
20 strains, he's got different labs and different
21 timeframes. The data covers a 26-year time period.
22 You've got data from 26 years apart and he's just
23 lumping them all together. So in my humble opinion
24 this analysis doesn't mean anything. But even if it

1 did, even if it did and the three pooled analyses are
2 significant there are over 80 different tumor types
3 that you could apply this pooled analysis to. I
4 enumerated some of them.

5 You've got 80 candidates for this funny
6 pool trend test and you've got three out of 80 that
7 are significant. Well that's what you'd expect by
8 chance. Once again after this elegant analysis, even
9 if it's correct and I don't think it is, all you'd
10 have is what you'd expect by chance. And he also does
11 this relative to historical control data. And he
12 misuses that too but I don't have enough time to talk
13 about that. But I will bring up just the proper use
14 of historical control data which applies not only to
15 Chris' stuff but also to the EPA.

16 And I'm just going to tell you what I
17 think the principles are and you can judge how they're
18 being applied. First of all, the concurrent control
19 group is always the most important control group. I
20 think everyone agrees with that. However, historical
21 data can be useful in some instances to help interpret
22 effects but it's got to be used with caution. And the
23 trick is finding data that are truly comparable to the
24 study in question. And that can be a difficult thing

1 to do in some cases. And the sort of things you have
2 to keep in mind and look for are, for example, surely
3 the strains should be the same.

4 You shouldn't pull data over different
5 strains, you can have very different tumor rates. The
6 same lab ideally and the same timeframe. You don't
7 want studies 22 years apart. For all these reasons
8 the NTP, it cites historical control data in its
9 appendices of its technical reports and it refers to
10 it informally. It's part of a weight of evidence
11 factor when making a conclusion but they don't do any
12 formal test and there's a good reason for that. I
13 think it's because the uncertainty as to the
14 comparability.

15 I think you use historical data with
16 caution. There are two other things that directly
17 relate to how people have used historical data in
18 these studies. The study duration should be the same.
19 You cannot combine 24-month and 18-month historical
20 control data and call that a proper historical control
21 group. You can't do that. The more subtle thing has
22 to do with pathology protocols. And by that I'm
23 referring to the Knezevic study. And I apologize for
24 misspelling his name. He, you'll recall back in '83,

1 the '83 study evaluated kidney tumors and went to
2 extraordinary lengths to find tumors.

3 They had the original exam, that's
4 fine. Then they said well maybe we missed something.
5 Let's go back and look a second time extra hard with a
6 fine-tooth comb. And low and behold they found
7 another tumor in the control group they'd missed from
8 their routine examination. Then they said well, maybe
9 we'll find more let's do a step section. They went
10 back and did a step section looking for more tumors.
11 And that level of rigor is not reflected in the
12 historical control data unless you go back with the
13 historical control data and do that same thing, look
14 at it especially close and then do a step section.

15 It's not appropriate in my view. And
16 that study is an example to compare those tumor rates
17 to a historical control group that didn't go through
18 all of the rigor that was done in this study. And I'm
19 sure there are other examples that could be pointed
20 out. But my long-winded talk is about over. My
21 conclusion from all this was as I said before that I
22 think a proper statistical analysis of the Rhoden data
23 supports the conclusion that Glyphosate does not cause
24 tumors in rodents.

1 **DR. JAMES MCMANAMAN:** All right. I
2 think we'll open this area up for questions. This is
3 related to the animal cancer bioassays. And so, we
4 can have questions for doctors Bus and Dr. Haseman.
5 Dr. Johnson?

6 **DR. ERIC JOHNSON:** Thank you, Dr.
7 Haseman for so elegantly stating the caveat about use
8 of historical controls. I think many times we
9 overlook what you just said, that we should treat
10 historical controls with caution. Now I have a couple
11 of questions. When you look at the expected numbers
12 of trend tests that's expected, that 28, and you
13 observe only 11, I'm thinking if it was the other way
14 around and we observed almost three times as many
15 significant traces, so around 80, which were trend
16 positive as the 28 expected we would have said this is
17 not due to chance.

18 **DR. JOE HASEMAN:** If those numbers had
19 been reversed that would have been strong evidence
20 that there were some carcinogenic effects tucked away.
21 And then you'd look at the 28 that were significant
22 and try to figure out which ones were showing similar
23 trends, which ones were very strong, and so forth.

1 And so, if had been reversed there would definitely be
2 carcinogenic effects.

3 **DR. ERIC JOHNSON:** My question is, now
4 that we get much less than what we expect by chance
5 what's the interpretation for that? Is it a support
6 of no trend at all or is it a red flag that there may
7 be something wrong with the studies?

8 **DR. JOE HASEMAN:** What I honestly think
9 is it, as I told you I could have decreased, in fact
10 it did, when you take into account that one study that
11 was excluded and you take into account that the trend
12 test is not really operating at the five percent level
13 that number drops from 28 down to a much lower number.
14 It goes down to 20. I think Dr. Crump can tell you
15 perhaps how many extra trend tests he thought he found
16 that were significant that the EPA didn't report. But
17 I'm thinking not only is the 28 too high it's probably
18 more close to 20. But the 11 may be too low and it
19 may be up to like, you know, 13 or 14.

20 What I'm saying is I'm not real
21 concerned about the magnitude of the difference
22 between expected and observed because I don't think
23 the actual difference is as great as my calculations
24 indicate for the very reasons that I said. I think

1 the observed is being slightly under reported and I
2 think the expected is being over reported. And I
3 tried to adjust that down to take that into account.

4 **DR. JAMES MCMANAMAN:** Dr. Crump?

5 **DR. KENNETH CRUMP:** Yeah. Dr. Haseman,
6 I think your emphasis on trying to correct for these
7 multiple comparisons is a very important problem. I
8 appreciate your work on that. I think you could have
9 gotten a little bit more accurate answer if you had
10 looked at the number cases you had three tumors, the
11 number of cases you had four tumors rather than just
12 looking at the total number of tumors that were enough
13 that you could get significance.

14 **DR. JOE HASEMAN:** I did do that and
15 that was how I came up with the four percent. There
16 were about 10 percent of the data had three tumors.
17 And the exact p-value for those 10 studies was like
18 015. You probably calculated that. You get 015?

19 **DR. KENNETH CRUMP:** I think so.

20 **DR. ERIC JOHNSON:** And then another 10
21 or 20 percent had four exact tumors. That p-value was
22 getting close, depending on the doses because most of
23 these doses weren't equally spaced, that p-value was
24 up around four percent. And then I looked at five

1 tumors and six tumors and the p-value started to
2 stabilize at about 043. Anything above the 10 percent
3 where it was low when you take a weighted average of
4 10 percent by 015 and then 90 percent times 043,
5 that's how I got my 04. But I did consider how many
6 threes there were and fours and fives and sixes.

7 **DR. KENNETH CRUMP:** Okay. Good. I did
8 something similar to what you did. I only looked at
9 three, I got tired of trying to read all that data,
10 only looked at three studies. And just per study I
11 got an average of between one and two positives per
12 study. Which I think would be pretty close to what
13 you came up with overall for that.

14 **DR. JOE HASEMAN:** We can go over our
15 calculations in the break because I brought them with
16 me if you want to. But I think the key point is we
17 can fine tune these numbers but we can't escape the
18 fact in the totality of the Glyphosate studies what we
19 see as significant is consistent with chance. And we
20 can tinker with the observes and expected and bring
21 them closer together or a little bit separate but
22 there's no way you're going to get a situation where
23 there's more significance than you'd expect by chance.

1 **DR. KENNETH CRUMP:** Just a couple of
2 other questions. You said you have to have three
3 animals to have significance. Is that contingent on
4 having four dose groups? If you have five dose groups
5 is it still three?

6 **DR. JOE HASEMAN:** Yeah. The only case
7 I really looked at I admit were three doses in a
8 control because that's what most of the studies were.

9 **DR. KENNETH CRUMP:** They do have one
10 that has five dose groups. I think it would be two.

11 **DR. JOE HASEMAN:** That's right.

12 **DR. KENNETH CRUMP:** For that one. What
13 this is all trying to do is to correct for the
14 multiple comparisons. But there are tests that you
15 can actually apply to get a true p-value that corrects
16 for the multiple comparisons by doing kind of a
17 randomization test. I just wondered if you thought
18 that might be an even better way to handle the --

19 **DR. JOE HASEMAN:** Well in light of what
20 I found using my approach I would be happy to discuss
21 this other test with you. But I would be astounded if
22 it came to any other conclusion than what I came to.

1 **DR. KENNETH CRUMP:** It would just give
2 you a more accurate overall p-value than just for the
3 whole study.

4 **DR. JAMES MCMANAMAN:** Dr. Jett?

5 **DR. DAVID JETT:** Yeah. Thanks. That
6 was really informative. This is Dave Jett. I guess
7 my original question was a couple talks ago about the
8 idea of having significant trends in the absence of
9 any significant pair-wise comparisons. But I think
10 your talk has brought me to another question. And
11 that is this interesting idea of chance effects. In
12 your denominator, when you're just looking at chance
13 of probability, is it proper to pool all of the
14 studies together? Or should you just be looking at
15 renal tumors as the denominator, which would be far
16 fewer studies?

17 **DR. JOE HASEMAN:** Well I think if I'm
18 understanding your question it's appropriate to get a
19 global picture of the false positive rate to look at
20 everything. And then you can also focus in on certain
21 tumors. But as I said there are 80 different tumors
22 you could focus in on. If you focus in on renal
23 tumors and do some analysis and find it significant
24 that's again one out of 80. You could do all these

1 other things with adrenal tumors and pituitary tumors
2 and all that. It would depend exactly what you're
3 talking about.

4 You could bias yourself by weighting
5 and looking at the data and saying, oh, these two or
6 three tumors look impressive. There's no reason I
7 would think a priori they would be there but by golly
8 they're significant. I'm going to do something extra
9 with them. And you just have to be careful. You just
10 have to be aware of the fact that there's so many
11 tumors out there that you could have found that effect
12 for. That unless there's some reason that that
13 particular tumor a priori was suspected for example as
14 a target site I don't think there's any reason to do
15 any special focus on it independently of the multiple
16 comparison issue.

17 **DR. JAMES MCMANAMAN:** I think we're
18 going to have to draw to a close there, because we're
19 running a little short on time. We have about 50
20 minutes left for the Monsanto group to present. And
21 if there's any time following the last final two
22 presentations then we'll open it up for additional
23 questions. I think the next presenter is Dr. Kirkland
24 followed by Dr. Reiss.

1 **DR. DAVID KIRKLAND:** Thank you. Yes.
2 Good morning. You heard quite a lot yesterday about
3 the genotoxicity of Glyphosate. And I don't propose
4 to go through the data in detail again. But what you
5 also heard yesterday were about studies that were
6 included, some were excluded, some were high weight,
7 some were published, some were unpublished. And what
8 I'd like to do is take a deeper discussion of how to
9 apply a weight of evidence approach in the evaluation
10 of genotoxicity. And this comes from the expert panel
11 that Donna Farmer mentioned in the introduction which
12 was published.

13 And I'll go into the details of that a
14 little bit later on. I'll discuss the approaches to
15 weight of evidence evaluation that we recommended in
16 that publication and the conclusions that we reached
17 on the genotoxicity of Glyphosate and compare those
18 with the EPA approaches and the EPA conclusions. I
19 hope I've got this correct from Dr. Ackerman's
20 presentation. My apologies if there are any errors.
21 The approach that EPA used took into account
22 genotoxicity data from multiple test systems and end
23 points.

1 But the assessment focused on those
2 systems that the agency considered the most relevant
3 for assessing genotoxic risks in humans. Although the
4 totality of the genetic toxicology information was
5 evaluated, a weight of evidence approach involves
6 integration. Looking across both in vitro and in vivo
7 results and an overall evaluation in particular of the
8 quality, consistency, reproducibility, magnitude of
9 response, dose response relationships, and relevance
10 of the findings.

11 What this means is that studies
12 evaluating gene mutations and chromosome elaborations,
13 i.e., manifestations of permanent DNA damage are given
14 more weight than DNA events that may be transient or
15 may be reversible. For example, DNA strand breaks as
16 measured in the comet assay. And in vivo studies in
17 mammals were given the greatest weight. And in
18 addition, more weight was given to doses and routes of
19 administration considered the most relevant for
20 evaluating genotoxic risk based on human exposure.
21 And in a nutshell, we believe that that was a sound
22 approach.

23 Just to summarize the EPA conclusions
24 so that I can come back to them at the end. No

1 convincing evidence that Glyphosate induces mutations
2 in vivo via the oral route. When I.P. injection was
3 used, there were some positive but predominantly
4 negative micronucleus studies. In the two cases where
5 an increase in micronuclei were reported by the I.P.
6 route the effects occurred above the reported I.P.
7 LD50 and were not seen in other I.P. studies using
8 similar or higher doses. There was limited evidence
9 for questionable genotoxic effects in some of the in
10 vitro experiments.

11 I'll come back to the questionable in a
12 later slide. But when looking forward from in vitro
13 to in vivo for the same end points the in vivo effects
14 were predominantly negative. And therefore, since
15 they were given more weight there was no verification
16 of the positive in vitro results. And that is a
17 consistent approach in terms of OECD guidance. The
18 only positive findings reported in vivo were seen at
19 relatively high doses that are not relevant for human
20 health risk assessment. Those were the EPA approaches
21 and conclusions.

22 Let me know just mention this
23 genotoxicity panel was one of four and the other
24 panelists are also genetic toxicologists each with

1 several decades of experience. What we did was, we
2 reviewed all of the genotoxicity data including all of
3 the regulatory GLP studies. And that report, David
4 Brusick is the first author, has been published in
5 this special issue of *Critical Reviews in Toxicology*.
6 But again, in a nutshell, our approach and conclusions
7 were actually very similar to those of EPA. So where
8 do we start?

9 Well when you have a chemical like
10 Glyphosate which has been through such extensive
11 testing you end up with a massive database of studies,
12 you heard yesterday, depending on where you look but
13 certainly over 100. And those studies will be on
14 different end points, varied test systems, different
15 exposure methods. But then you find that the common
16 tests have actually been repeated on multiple
17 occasions. You've got multiple entries for the same
18 end point in the database.

19 A really rigorous and systematic and
20 critical approach to an evaluation of such a complex
21 and extensive database is required. And in order to
22 do that, you have to take into account that different
23 cell types have different levels of accuracy in terms
24 of predicting a genotoxic effect, or predicting a

1 human hazard. The p53 status, the karyotypic
2 stability, the DNA repair capacity, whether the cells
3 are from a rodent or a human origin all have an impact
4 on how much confidence we can place in the results
5 from those kinds of studies.

6 We know there's been a lot of work over
7 the last 11, 12 years to try to reduce the number of
8 misleading positive results that we get in particular
9 from in vitro mammalian cell tests. And when you've
10 got such a large database you're going to see some of
11 those misleading positive results. They might be due
12 to the fact that the test system say is a p53
13 deficient aneuploid rodent cell line. They may give
14 positive responses with noncarcinogens. There is the
15 non-predictive component to misleading positive
16 results.

17 We may get a genotoxic effect due to
18 indirect consequences of extreme conditions such as
19 high cytotoxicity, high osmolality, low pH. Although
20 generally we can control for some of those. Or we may
21 get misleading results due to technical difficulties.
22 In a weight of evidence approach the test methods, the
23 test systems, and the end points should be assigned a
24 weight that is consistent with their contribution to

1 the overall evidence. We came up with different
2 categories of evidence weighting based on the
3 following.

4 Different assay types should have
5 different weights. You've already heard this from EPA
6 yesterday from Dr. Akerman and from others. And it is
7 strongly stressed in the recent OECD overview of the
8 genetox test guideline revisions. The tests measuring
9 permanent genetic changes such as mutations and
10 chromosome damage should have greater weight than
11 indicates tests that only measure, for example, DNA
12 strand breakage. DNA strand breakage is a very early
13 event in the mutagenic process.

14 And we don't know whether those strand
15 breaks are going to be effectively repairs, whether
16 they're going to be lethal, or whether they're going
17 to turn into heritable mutations. They should be
18 given less weight since they have a higher degree of
19 uncertainty. The aggregate strength, the robustness
20 of the protocols and the reproducibility are
21 important. Studies conducted to GLP and according to
22 OECD guidelines should have greater weight than
23 studies lacking these attributes.

1 I just want to spend a minute or two on
2 this because this talks to some of the questions
3 yesterday about published versus unpublished. And I
4 think it's worth just clarifying exactly what it means
5 when a study is said to be GLP compliant. To do that
6 a laboratory has to have a quality assurance unit
7 which is part of a quality assurance program. That
8 unit reports to management only and it monitors
9 everything the laboratory does. The first thing is
10 that a protocol has to be generated, which will
11 address the objectives of the investigation.

12 The lab staff have to record absolutely
13 everything in meticulous detail, dates, times, weights
14 volumes, dilutions, speeds, temperatures. So that the
15 study can be completely recreated if it's necessary.
16 And the QA unit has to audit critical phases of the
17 laboratory work. They also have to inspect that any
18 results are being recorded properly. And then they
19 have to audit the report to make sure that the results
20 that are in the report reflect the data that are in
21 the raw data files. This is a level of detail which
22 you will never find in a publication.

23 Moreover, all of that review occurs
24 before the reports ever reach the regulatory agency

1 where there is going to be another level of review on
2 the quality of the study. We believe, and I think EPA
3 took the same position, that studies conducted to GLP
4 should have a considerable weight. Even though in
5 many cases they may not be published, they may not
6 have been through the peer review process of a journal
7 publication, they've been through a very extensive set
8 of reviews both at laboratory level and agency level.
9 The number of pieces of evidence within a category
10 also influences the weight.

11 If we have a majority of studies giving
12 us concordant findings and we then find the odd study
13 that gives a discordant finding that that should be
14 sufficient to alter the direction and the strength and
15 the weight of evidence. It's very tempting sometimes
16 to say, well, I want to believe the positive result
17 amid all these negatives. And we should be careful of
18 doing that. And tests with greater relevance to
19 humans should carry greater weight. You also heard
20 this yesterday.

21 Data from in vivo tests are much more
22 predictive of potential human hazard. They're much
23 less susceptible to misleading results. They should
24 carry more weight than data from in vitro tests or

1 from non-mammalian tests other than the Ames test
2 which is considered to be predictive of potential
3 human hazard. We put together a grid. We turned
4 those approaches into four categories which I'll show
5 you on a grid in a moment. Negligible weight was
6 attributed to end points that are not linked to any
7 adverse event that's relevant for carcinogenic hazard
8 or risk.

9 And the only one that really fell into
10 here was sister chromatid exchanges because we don't
11 understand how they're caused, what is the biological
12 relevance, and there is no longer an OECD guideline.
13 Low weight was attributed to end points indicative of
14 primary DNA damage which could be reversible and to
15 other events not unequivocally linked to tumorigenic
16 mechanisms. Moderate weight was given to those cases
17 where the endpoint is potentially relevant to
18 tumorigenicity.

19 But maybe the subject of secondary
20 threshold dependent mechanisms such as in the case of
21 cytotoxic plastogens or aneugens or in those cases
22 where the test system exhibits a high rate of
23 misleading positives with respect to carcinogen
24 prediction. And the highest weight was given to those

1 end points demonstrated with a high level of
2 confidence to play a critical role in the process of
3 tumorigenicity. We put this grid together but I'm
4 only going to focus on those studies in the high
5 weight category for the rest of the talk.

6 Basically, those are in vivo,
7 micronucleus, chromosomal aberration, gene mutation
8 studies, and the Ames test. Now we included 44 mainly
9 GLP studies that we had summarized in a paper in 2013,
10 myself and Larry Kier. Those had not been reviewed by
11 IARC but we believe they should have been considered.
12 And from what I heard yesterday from Dr. Akerman I
13 believe they were considered by EPA. And that's very
14 encouraging. Why do we believe they should have been
15 considered? Because detailed summary tables were
16 provided each study was stated to have been conducted
17 to GLP.

18 Almost all of the studies followed the
19 relevant OED guidelines applicable at the time. Apart
20 from the Ames test which is not routinely analyzed,
21 statistically we tend to use a folding crease approach
22 to the interpretation of the Ames test. But apart
23 from that, statistical measures were given and the
24 level of significance was given. And we provided

1 detailed methodology such as the bacterial strains
2 tested or cell type used, data on individual
3 replicates, how top concentrations were chosen,
4 whether cytotoxic effects occurred, numbers of cells
5 scored, doses and dosing routes for the in vivo
6 studies.

7 In other words, a lot more information
8 than you see in most published papers. When we
9 include all of those studies and the left-hand bar
10 there is for the high weight studies you can see that
11 the overwhelming majority of high weight studies give
12 negative results. There are two that give positive
13 results and Dr. Akerman discussed them yesterday. Our
14 conclusions were that yes, if you pay particular focus
15 to the low weight studies then a lot of them are
16 positive, five out of seven. But as we've already
17 discussed in a rigorous weight of evidence approach
18 they are low weight.

19 They are most likely to yield
20 misleading positive results and they are the least
21 clearly associated with the cancer process. The high
22 weight studies were overwhelmingly negative, two out
23 of 39 were positive and those were the two in vivo
24 micronucleus studies or it's one micronucleus and one

1 chromosomal aberration. And there are questions
2 regarding both of those in terms of their consistency,
3 their biological relevance, the biological relevance
4 of the result, and what exactly were the authors
5 measuring.

6 Two out of 39 high-weight studies, and
7 there are question marks even about those two
8 positives. Just to summarize in a few words,
9 Glyphosate is not electrophilic. It does not trigger
10 any structural alerts in databases such as DEREK. No
11 structural alerts for chromosomal damage,
12 genotoxicity, mutagenicity, or carcinogenicity.

13 The 20 Ames tests on Glyphosate itself
14 were negative. And by the way there's a lot of data
15 on GBFs as well. And GBFs show pretty much the same
16 pattern as Glyphosate does. We covered the
17 formulations in the Brusick, et. al. paper and in the
18 Kier and Kirkland 2013 paper.

19 Glyphosate does not induce gene
20 mutations either in mammalian cells in vitro. It does
21 not induce chromosomal aberrations in vitro or in
22 vivo. There are a handful, four I think, positive in
23 vitro micronucleus studies with Glyphosate. I put the
24 word questionable there because three out of those

1 four studies were only positive in the presence of
2 metabolic activation. And yet as you heard yesterday
3 Glyphosate does not undergo extensive metabolic
4 activation. Those results lack plausibility.

5 But even if those positive micronuclei
6 results are real, why do we see micronuclei but not
7 chromosomal aberrations in vitro? Is this a
8 reflection of the fact that we score more cells in an
9 in vitro micronucleus test because we can, because
10 it's easy? And therefore, there is increased
11 statistical power. Or is it telling us something
12 about mode of action? Are we seeing aneuploidy
13 leading to the induction of micronuclei, which would
14 not lead to the induction of chromosomal aberrations?

15 Either way, whatever might be the
16 explanation for those micronucleus responses in vitro
17 as we've just discussed, there is strong evidence the
18 vast majority of in vivo micronucleus tests even using
19 the I.P route are negative and those that are positive
20 are highly questionable. There is some evidence that
21 Glyphosate can induce strand breaks in vivo. But the
22 one study that's looked for DNA adducts didn't find
23 any DNA adducts. Those strand breaks are not due to a
24 direct interaction with the DNA.

1 And when we look in vitro we find again
2 evidence of strand breaks but generally only under
3 cytotoxic conditions. It may well be that the strand
4 breaks in vivo are also a consequence of cytotoxicity.
5 Either way those strand breaks do not lead to
6 chromosome breaks because we don't get any chromosome
7 elaborations in vitro or in vivo. There is no
8 evidence that Glyphosate induces DNA repair,
9 unscheduled DNA synthesis. Some reports of sister
10 chromatid exchange; but, as I explained earlier, we
11 gave those negligible weight.

12 It's not a recommended endpoint anymore
13 and we don't understand the biology or the relevance
14 of induction of SCE. Now just to spend a moment on
15 non-mammalian studies because there's quite a lot of
16 non-mammalian studies, I'm not talking about the Ames
17 test here, in fish, reptiles, plants. Quite a lot of
18 studies on Glyphosate that are in the public domain.
19 These are not GLP studies. Many tests used unusual,
20 nonstandard methods of treatment of exposure.
21 Emersion in or surface contact with the test material.
22 There are no international guidelines for such non-
23 mammalian test systems.

1 And therefore, they are difficult to
2 evaluate. The latest revisions to OECD guidelines
3 make recommendations about test systems and they state
4 specifically that if you want to use a nonstandard
5 test you really need to justify it very carefully and
6 have stringent validation data. Including the
7 establishment of robust historical negative and
8 positive control databases. You can clearly determine
9 whether the test is performing well on any given day.

10 Now there are no databases of negative
11 and positive control data on which to be able to judge
12 the performance of these nonstandard tests in fish and
13 amphibians and so on. And there are no results from
14 validation studies that give us any indication as to
15 whether the outcomes of those non-mammalian studies
16 have any concordance with carcinogenicity. We decided
17 that data from such nonstandard tests should not have
18 significant weight in the overall genotoxicity
19 evaluation and if I understood correctly the EPA
20 actually excluded those studies for the similar
21 reason.

22 I want to just take a minute on
23 biomonitoring studies because this was raised a little
24 bit yesterday. And I'm not talking about

1 biomonitoring in the sense that Dr. Acquavella did
2 earlier this morning. This is about monitoring
3 populations that have been exposed in terms of
4 genotoxic endpoints. Because our expert panel believe
5 that such studies can offer highly relevant
6 information as long as they are rigorous. I'm going
7 to briefly discuss three biomonitoring studies. The
8 Koureas, et. al., 2014 study was mentioned yesterday.

9 That didn't measure a genotoxicity
10 endpoint, it measured 8-hydroxydeoxyguanosine
11 residues, i.e., evidence of oxidated stress. And Dr.
12 Bus I think will touch on that when he talks about
13 oxidative damage this afternoon. EPA assigned a low-
14 quality ranking to these biomonitoring studies because
15 there was a lack of exposure information on Glyphosate
16 from the subjects that were sampled. And there were
17 no quantitative measures of association between
18 Glyphosate and a cancer outcome. We decided to
19 actually discuss those studies and these are the
20 three:

21 There's a 2007 paper from Paz-Y-Mino,
22 et. al., which looked at the comet assay in humans
23 exposed to GBP formulation spraying. There are some
24 rather disturbing comments in the paper. One, that

1 the GBF application rate was reported to be around 20
2 times higher than recommended. But a large number of
3 the exposed subjects showed signs of, and I'm almost
4 quoting from the paper her, clinical toxicity
5 consistent with acute intoxication. Now even at 20
6 times the recommended application rate you wouldn't
7 expect to see acute intoxication. That doesn't make
8 sense.

9 And we're not really sure what is
10 behind that. The DNA damage that they found in that
11 2007 paper may be nothing to do with Glyphosate.
12 Because you have to speculate that there must have
13 been some other exposures leading to that acute
14 intoxication. Either way, the damage could be due to
15 the toxic effects rather than the inherent properties
16 of whatever they were exposed to. More importantly,
17 Paz-y-Mino followed up a couple of years later, the
18 paper then appeared a couple of years after that and
19 went back to the individuals from the same spraying
20 areas and could not find any increases in chromosomal
21 damage or chromosomal changes.

22 Whatever the DNA damage was due to back
23 in 2007 it didn't become converted into identifiable
24 chromosomal changes. Was it biologically relevant?

1 And the final study is a micronucleus study from
2 Bolognesi, et. al., in 2009. They reported a small
3 transient and inconsistent induction of micronuclei in
4 individuals in three different GBF spray areas. Keith
5 Solomon managed to isolate the data from self-reported
6 spraying exposures. And the micronucleus frequencies
7 compared with the different types of spray exposure or
8 no spray exposure absolutely on the background noise.
9 There's no differences whatsoever.

10 And that's probably what Bolognesi, et.
11 al. meant by inconsistent. And they in any case
12 concluded that the data suggested that any risk was
13 low. We did review those biomonitoring studies but as
14 you can probably tell our conclusion was that there
15 was little or no reliable evidence that would suggest
16 that DBFs across a wide range of end user exposures
17 pose any human genotoxic risk. Finally, just to
18 compare the properties, the pattern of results that
19 you get with a known genotoxic carcinogen and the
20 tentative results that you get with Glyphosate.

21 The left-hand column is the
22 characteristic, the middle column is what you would
23 expect to see with a genotoxic carcinogen, and the
24 right column is what you see with Glyphosate.

1 Genotoxic carcinogens generally give positive results
2 across multiple end points, not just a single
3 endpoint. You generally find that they produce gene
4 mutations, chromosomal damage, micro nuclei in vivo
5 and in vitro. We do not see that with Glyphosate.
6 Genotoxic carcinogens generally have structural
7 alerts, Glyphosate doesn't.

8 Genotoxic carcinogens generally bind to
9 DNA, they are electrophilic, Glyphosate doesn't.
10 Genotoxic carcinogens tend to give reproducible
11 results when the same study is repeated, Glyphosate
12 doesn't. The results are non-reproducible,
13 inconsistent, conflicting. Genotoxic carcinogens tend
14 to give dose response or dose responses across a wide
15 range of concentrations or exposure levels, Glyphosate
16 doesn't. It tends to be the odd dose usually, perhaps
17 a high dose, if it's giving a positive response at
18 all.

19 And genotoxic carcinogens typically
20 give positive responses at non-toxic concentration and
21 Glyphosate doesn't. If it's positive, it's generally
22 only under toxic conditions. So as in blue at the top
23 of the slide there you look across these patterns
24 there is virtually no concordance between a typical

1 genetic carcinogen and what we see with Glyphosate.
2 From a critical weight of evidence review of all of
3 the data on Glyphosate we agree with the EPA's
4 conclusion that there is no evidence that Glyphosate
5 poses a genotoxic hazard.

6 Just to pick on one or two of the sub
7 questions within charge question four. As you can
8 see, I think we followed very similar approaches in
9 terms of how weight of evidence was approached,
10 different types of studies included, excluded, given
11 more weight et cetera. And we've reached similar
12 conclusions.

13 We agree with EPA in terms of the
14 relevant genotoxicity studies that were reviewed, the
15 appropriate identification of the studies to be
16 reviewed, a focus on the active ingredients not on
17 formulations because of the complications of
18 surfactants, the exclusion of a large number of non-
19 mammalian assays, these are the plant, fish, amphibian
20 type assays. And there was a complete exclusion of
21 five studies because of faulty design which we didn't
22 even look at because they're very old and certainly
23 not robust.

1 We agree with EPA's reliance on in vivo
2 studies as being more relevant to humans and that in
3 vitro studies, apart from the Ames test should be
4 given less weight. That whilst negative results in
5 vitro provide assurance that you're not likely to see
6 genotoxic effects in vivo, if you see positive results
7 in vitro they really need to be checked to see whether
8 they can be confirmed in vivo. And finally, I have to
9 apologize, I'm afraid there's been a deletion and a
10 frame shift mutation on this slide during the final
11 edits.

12 I don't think it was spontaneous, I
13 think it was induced by fingers that would not stay
14 under control. Just to finish off we agree with the
15 EPA regarding the relevance of the genotoxicity
16 findings with respect to dose and route of exposure.
17 Oral studies given more weight than I.P. Here's the
18 deletion. It should say there was some positive I.P.
19 micronucleus studies but they were outweighed. The
20 results were inconsistent with five other studies that
21 were negative at equal or higher doses.

22 And of particular note, is the NTP
23 study, this is 13 weeks of dosing of over 3,000 mgs
24 per kg per day looking at hundreds of thousands of

1 erythrocytes by flow cytometry and finding no
2 induction of micronuclei. That was impressive data.
3 The strengths and uncertainties associated with the
4 weight of evidence and conclusion. Yes, some studies
5 report positive genotoxicity but they are mainly seen
6 in the negligible or low weight categories or with the
7 I.P route of exposure. We believe that the weight of
8 evidence approach, the conclusions of EPA are
9 scientifically strong.

10 And that the data supports that
11 Glyphosate is not an in vivo plastogen or
12 genotoxicant. And that conclusion is not only in line
13 with our expert panel report, Brusick, et. al. but
14 also with the JMPR conclusions. And I won't waste
15 time reading that at this moment. Thank you for your
16 attention.

17 **DR. JAMES MCMANAMAN:** Thank you. I
18 think we'll move on to Dr. Reiss.

19 **DR. RICK REISS:** I'm going to give a
20 brief wrap-up and also comment briefly on the cancer
21 classification. The Cancer Guidelines that EPA uses
22 emphasize a weight of evidence review. And I won't
23 read that quote but the bottom line is it emphasizes a
24 weight of evidence review of all the available data.

1 What we have seen today is I think basically a weight
2 of evidence review of all the individual lines of
3 evidence including the epidemiology, the Rhoden data,
4 and the genotox. And you could think of the
5 classification as a weight of evidence of all those
6 weights of evidence.

7 And I think if you see the conclusions
8 from our weight of evidence reviews, the plain
9 language without reading the guidelines in any great
10 detail the plain language conclusion would be not
11 likely to be carcinogenic. I'm going to briefly
12 review some of the things we've talked about today
13 just as a quick reminder. We had a Rhoden
14 carcinogenicity across 14 available studies. And I
15 should say we have 15 of these slides because we
16 discovered the one study that wasn't applicable to
17 Glyphosate about an hour before we had to deliver our
18 slides so we couldn't fix that. We apologize.

19 But EPA did a very good analysis
20 showing no compound related tumors in individual
21 studies, lack of supporting evidence for
22 carcinogenicity including dose response, progression,
23 et cetera. Also, very importantly with this large
24 database we saw no consistency across a large number

1 of studies. And I think we added to that with Dr.
2 Haseman's analysis which did a rigorous multiple
3 comparison analysis to show that there are no more
4 expected statistically significant trends than you
5 would expect by chance.

6 Dr. Kirkland just explained that the
7 weight of evidence shows that Glyphosate is not a
8 genotoxicant. It's positive in only two of 29 high
9 weight studies and it shows none of the
10 characteristics of a genotoxicant. We also showed a
11 weight of evidence review of the epidemiologic data
12 does not support an association for NHL. A few things
13 that I think Dr. Acquavella added to the EPA analysis
14 is that Glyphosate occupational exposures are
15 extremely small due to its physical chemical
16 properties.

17 Also, there are potential biases from
18 many of the case control studies that limit their
19 informativeness. And the only cohort study, the ag
20 health study, showed no association. And I think
21 importantly, Dr. Acquavella showed that there are more
22 days of Glyphosate use in the ag health study than the
23 case control studies. And that adds to the usefulness
24 of the ag health study versus the case control studies

1 beyond the normal issues that you deal with with
2 cohort versus case control studies. EPA has some
3 criteria.

4 It's a long document in the Cancer
5 Guidelines. But they list some criteria that you can
6 use to decide what the appropriate classification is.
7 EPA kind of boiled down their analysis to whether it's
8 suggestive or not likely. And here are some of the
9 criteria for a suggestive association, and I should
10 say that a lot of these you'll see they point to a
11 database where you'll probably have two Rhoden studies
12 and maybe a limited genotoxicity database.

13 And you have some findings that you
14 can't resolve any further with the available data that
15 you have. The first you see you find a small and
16 possibly statistically increase in tumors that's not
17 contradicted by another study. Well we have 14
18 different studies so we're able to do that replication
19 analysis. That wouldn't be applicable. The next one
20 you see a small increase in tumors but insufficient
21 evidence that they're not due to intrinsic factors, et
22 cetera.

23 Again, we have a large database and
24 EPA's individual analysis showed that none of the

1 individual studies showed any observed tumors. And
2 then that large database that shows no replication.
3 The next one, a positive response in a studies power,
4 design, or contact limits the ability to draw a
5 confident conclusion. Again, with this large database
6 for Glyphosate, 14 Rhoden studies, that's not
7 applicable. And you could also point to the large,
8 robust database of genotoxicity data here as well.

9 The next one, a statistically
10 significant increase of one dose but no significant
11 response at the other doses and no overall trend.
12 Well here's an interesting thing, in EPA's analysis
13 there was one study with a significant increase at the
14 high dose after the cited correction. And that was
15 the Lankas study. But keep in mind that the high dose
16 in that study was only about 32 milligrams per
17 kilogram. And there was also an unusually low
18 incidence in the controls and a lack of monotonicity.
19 Interestingly though the Stout and Rueker study was a
20 follow-up to that study to help resolve this issue,
21 among others. That study didn't find these tumors.
22 Again, Dr. Haseman's analysis showed that none of
23 these statistically significant findings are
24 unexpected given chance findings.

1 Moving to the descriptor not likely to
2 be carcinogenic to humans. The first one really is
3 the only relevant one here, lack of carcinogenic
4 effect, both sexes in well designed, well conducted
5 studies and at least two appropriate animal species.

6 We think quite clearly if you look at
7 both EPA's analysis of the individual studies, the
8 replication issue in Dr. Haseman's analysis, what you
9 heard from Dr. Bus that you can say yes for that.
10 These other criteria point to issues such as tumors
11 being not relevant to humans but in animals or a
12 threshold effect or an exposure route effect. And
13 those we don't think are applicable in this case.
14 Only the first criteria what you need to focus on.
15 From that, we think the weight of evidence supports a
16 classification of not likely to be carcinogenic to
17 humans.

18 And we also note that that's consistent
19 with all the other global regulatory authorities as
20 Dr. Farmer pointed out. Thank you. I'd be happy to
21 take questions, myself or any of the panelists, on any
22 of the issues that have come up.

23 **DR. JAMES MCMANAMAN:** Thank you. I
24 think we can open it up for questions to the last two

1 presenters or for any of the other presenters this
2 morning, if you have questions. Yes, Dr. Johnson?

3 **DR. ERIC JOHNSON:** Eric Johnson. I'd
4 like to ask Dr. Acquavella a couple of questions to
5 assist us. One, the farming monitoring studies you
6 did among farmers which showed that one outlier from -
7 -

8 **DR. JAMES MCMANAMAN:** Dr. Johnson,
9 could you speak into the microphone?

10 **DR. ERIC JOHNSON:** Okay. The one study
11 which you showed in your biomonitoring study of
12 farmers in which there was an outlier, this guy had
13 about 220 or something milligrams of Glyphosate in his
14 urine. What is that equivalent to in terms of intake?
15 Could you help us with that? What sort of intake
16 would have given rise to such a level?

17 **DR. JOHN ACQUAVELLA:** I didn't get the
18 last part of that. The 223 parts per billion in
19 urine, what is that equivalent in terms of?

20 **DR. ERIC JOHNSON:** Intake.

21 **DR. JOHN ACQUAVELLA:** You mean how many
22 carrots?

23 **DR. ERIC JOHNSON:** The dosage, in terms
24 of dosage.

1 **DR. JOHN ACQUAVELLA:** Oh, okay. That's
2 four times 10 to the minus three milligrams per
3 kilogram. And, you know, there are outlier values
4 that you question when you're doing analysis
5 sometimes. That's a legitimate value. I didn't mean
6 to call it an outlier in the context that maybe it's
7 not a true value. It's a legitimate value, it's just
8 very far removed from most of the other data.

9 **DR. ERIC JOHNSON:** Okay. The next
10 question is, do you know of any other company which
11 has conducted a study other than you?

12 **DR. JOHN ACQUAVELLA:** No.

13 **DR. ERIC JOHNSON:** None at all? What
14 proportion of the Glyphosate market does Monsanto
15 cover? I was just wondering if there are many other
16 companies out there.

17 **DR. JOHN ACQUAVELLA:** Somebody else
18 should probably take that question. It's kind of a
19 marketing question I think.

20 **DR. DONNA FARMER:** Yeah. I don't know
21 the exact answer. Mean we are one of the major
22 Glyphosate registrants but there are numerous
23 registrants all over the world. And so, we can
24 probably get that information to you.

1 **DR. ERIC JOHNSON:** Okay. My last
2 question to you is, was there exposure data available
3 for Glyphosate for sufficient to OSHA, in terms of
4 TLDs and things like that? Doesn't the company keep
5 some exposure information which is required by law for
6 OSHA purposes? Are those data available?

7 **DR. JOHN ACQUAVELLA:** You know, I don't
8 know the answer to that question. There are rules
9 that govern what kind of information has to be
10 submitted to the government about workplace exposure
11 monitoring and assessment. And I've just worked in
12 the field with applicators. I haven't really worked
13 on that kind of an issue with the manufacturing
14 workers.

15 **DR. ERIC JOHNSON:** Okay. And finally,
16 as far as you know, no biomonitoring study has been
17 done on the manufacturing workers like you did on the
18 farms?

19 **DR. JOHN ACQUAVELLA:** No.

20 **DR. JAMES MCMANAMAN:** Dr. Parsons?

21 **DR. BARBARA PARSONS:** My question is
22 for Dr. Haseman. In your experience with NTP what is
23 your opinion about how you interpret a study that
24 produced three separate positive tumor responses and

1 how does that weigh into your evaluation? I'm
2 thinking of Stout and Rueker. Three different
3 responses at three different sites in one study.

4 **DR. JOE HASEMAN:** Well it would depend
5 on the strength of the effect. You mean like thyroid
6 tumors, liver tumors and --

7 **DR. BARBARA PARSONS:** Uh-huh.

8 **DR. JOE HASEMAN:** Well I think they'd
9 all be looked at individually unless they were one in
10 females and one in males of the same tumor. That
11 would be given more weight. I think there's no
12 general rule. I mean the weight of evidence would
13 look at the factors such as how strong is the trend,
14 is it seen in the other sex, is there supporting
15 hyperplastic lesions, what's the historical control
16 rate. Whether there's one effect, three effects or
17 more, each one is judged more or less independently
18 and it depends on the strength of the evidence.

19 Not every "significant trend" is
20 flagged as a real biological effect. It's just a
21 piece of the overall weight of evidence.

22 **DR. BARBARA PARSONS:** So you're saying
23 observations of multiple tumor types in a study is not
24 given any additional weight?

1 **DR. JOE HASEMAN:** My guess would be if
2 you saw a marginal effect say in three unrelated
3 tumors and you had a chance to say, well, individually
4 none of them would be significant. But these tumors
5 of the liver the spleen and the thyroid, because
6 they're all together and all marginal the one thing
7 the NTP might do in that situation would be say
8 equivocal. They do have an equivocal level of
9 evidence. And of course, it would depend on how
10 strong it is.

11 But if these are three marginal effects
12 that aren't really related but they're right on the
13 borderline of significance I think there have been
14 cases that taken collectively we feel these three
15 tumors are equivocal. Rather than just dismissing if
16 it was just one they might just dismiss it out of
17 hand. But if there were several they might say well,
18 a little bit, a little bit, a little bit. But it
19 would depend how strong they are. If they're strong
20 they'd call all three positive. If they're weak, you
21 know, it just depends on the strength of the effect.
22 It's hard to give general answers.

23 **DR. BARBARA PARSONS:** Thank you.

1 DR. JAMES MCMANAMAN: Other questions?

2 Dr. Johnson?

3 DR. ERIC JOHNSON: This concerns the
4 genotoxic studies. I mean, we're all aware that the
5 EPA review, and also the Monsanto review, conclude
6 that the overwhelming evidence does not show genotoxic
7 effect for Glyphosate. But what worries me a little
8 bit is the fact that the sister-chromatid exchange
9 study, for it to just be downplayed by saying that we
10 don't know what sister-chromatid means when this is an
11 indication of a genetic abnormality and we've used it
12 for decades. I mean it Benzene sister-chromatid
13 exchanges were used as part of the evidence for
14 Benzene genotoxicity.

15 I mean for us to say now that we don't
16 know anything about sister-chromatid exchange
17 therefore we should not consider that. I mean it just
18 worries me a little bit.

19 DR. DAVID KIRKLAND: Yes. I take your
20 point. I think what you have to do is to consider the
21 sister-chromatid exchange data alongside all of the
22 other data. For Benzene, there was clear induction of
23 chromosome elaborations as well as sister chromatid
24 exchanges. In fact, Benzene was one of the few

1 compounds that was actually found to be genotoxic in
2 vivo before it was found to be genotoxic in vitro.
3 Because when you put it into a culture dish it floats
4 on the top and the cells weren't getting exposed.

5 The context is we know that Benzene
6 produces chromosome elaborations, we know how Benzene
7 is metabolized, we know the metabolites of Benzene
8 bind to DNA. You put those sister chromatid exchange
9 observations in the context of all the others. But
10 when you look at Glyphosate, you've got sister
11 chromatid exchanges and you've got DNA strand breaks.
12 You've not got mutations, you've not got chromosome
13 elaborations, you've not got mutations either in
14 bacteria or in mammalian cells.

15 When we see these changes that are
16 evidence of exposure, so a strand break or a sister
17 chromatid exchange, for sure means the cells were
18 exposed. But what we don't know is whether the
19 manifestations of strand breaks and sister chromatid
20 exchanges in those cells actually mean anything from a
21 biological point of view. Do they have a consequence?

22 My response to your question is, if you
23 see other evidence of genotoxicity in terms of the
24 endpoints that we consider to be reliable, well

1 validated and with a clear association with
2 tumorigenicity, if we were seeing mutations and
3 chromosome elaborations, then those sister chromatid
4 exchanges would add to the weight of evidence. When
5 you see them in isolation, they don't.

6 **DR. ERIC JOHNSON:** I wouldn't agree
7 that for that it's just a personal thing because I
8 think sister chromatid exchange is not a normal
9 phenomenon. You don't see it in normal people. When
10 you see it when you administer a compound, it's an
11 abnormal finding and it should be recognized for that.

12 **DR. DAVID KIRKLAND:** It's not abnormal
13 in every case. There are some really strong
14 inconsistencies and I'm not sure that I can remember
15 the genetic conditions. There's Bloom Syndrome on the
16 one hand and I think it's Down Syndrome on the other.
17 There are two different genetic syndromes, one of
18 which has a high increasing chromosome elaborations
19 but no response in SCE. And the other has a high
20 increase in SCE but no response with chromosome
21 elaboration. And I can't remember which way around it
22 is.

23 And therefore, it's not an all or
24 nothing thing. Sister chromatid exchange is telling

1 you that the cell was exposed and it's telling you
2 that the genetic material was doing its best to
3 correct any errors that might have been there. We
4 don't know that those sister chromatid exchanges go on
5 to mean anything in terms of permanent damage. They
6 may well be a reflection of the cell trying to repair
7 damage that was there.

8 Let me turn this into why would you put
9 strong weight on two or three positive sister
10 chromatid exchange studies when you've got more than
11 90 gene mutation chromosome elaboration?

12 **DR. JAMES MCMANAMAN:** This is more of a
13 discussion than a clarification.

14 **DR. ERIC JOHNSON:** I mentioned that one
15 study was not and contributes to the overall weight of
16 evidence. That's what I was saying. I was saying
17 that this was a study which you did not find anything
18 methodologically wrong about and which is a finding
19 which is an indication of genotoxicity. And we've
20 used it in the past for other compounds. And we
21 should not just downplay it. Just leave it at that,
22 that sister chromatid was found. That's it. We don't
23 need to say that we don't know anything about the
24 mechanism.

1 **DR. JAMES MCMANAMAN:** I think we're
2 going to have to put an end to this discussion. I
3 think that we've run out of time. And perhaps your
4 concerns can be brought back up in the discussion of
5 the charge questions. Daniele Court-Marques has asked
6 to clarify something from yesterday. If we could have
7 her do that, we'll then break for lunch. A brief
8 clarification.

9 **DR. DANIELE COURT-MARQUES:** Thank you,
10 Mr. Chairman. It's not really important, but just
11 because we were mentioning the hemangiosarcomas and
12 the number of tumors that were seen and there was a
13 discrepancy between the results I report and the one
14 from the U.S. EPA. And I just checked in the study
15 report and actually it just mentioned that the authors
16 of the study mentioned the same numbers for the 50
17 animals as the number of tumors that were seen were on
18 the whole number of animals. I did not make this
19 correction that the U.S. EPA did.

20 I just want to say that U.S. EPA did
21 better work maybe than the authors of the study that
22 did not report the lower number of animals where the
23 incidence were found. I don't know if I made it
24 clear.

1 DR. JAMES MCMANAMAN: Thank you.

2 DR. DANIELE COURT-MARQUES: Thank you
3 very much.

4 DR. JAMES MCMANAMAN: So before we
5 break for lunch a couple of points if I can make. One
6 is that we have a very full schedule. In order to
7 expedite the public presentations, I would like to
8 make sure that Dr. Bus and Dr. Chukwudebe and Dr.
9 Levine be present at the podium right after lunch.
10 All three of you should be present so we can have good
11 continuity in presentations. And then afterwards the
12 remainder we will have Deborah Hommer, Scott
13 Slaughter, Sabitha Papineni, and Jacob Vukich.

14 They should be ready to present
15 following the first three. If you could just have
16 your presentations ready and be sitting up closer to
17 the podium that would be great. So now we'll take a
18 one hour break for lunch.

19
20 (WHEREAS A LUNCH BREAK WAS TAKEN)

21
22 DR. JAMES MCMANAMAN: I think we'll get
23 started. There's been a change in the schedule. Dr.
24 Chuckwudebe -- am I anywhere close?

1 DR. AMECHI CHUKWUDEBE: Close enough.

2 DR. JAMES MCMANAMAN: I'm close enough.

3 All right. Good. You will go first, from BASF. And
4 then Dr. Bus will follow, assuming he can find his
5 presentation.

6 DR. AMECHI CHUKWUDEBE: Okay.

7 DR. JAMES MCMANAMAN: All right.

8 DR. AMECHI CHUKWUDEBE: Am I close
9 enough to the microphone?

10 DR. JAMES MCMANAMAN: You're good.

11 DR. AMECHI CHUKWUDEBE: All right.

12 Good. Thanks, everyone, for being here. This
13 afternoon I'm going to add more weight to what you
14 heard yesterday and this morning. This will be a
15 formal way to conduct a weight-of-evidence review on
16 the carcinogenic potential of a test agent, in this
17 case glyphosate as an example; and then to see whether
18 the scientific weight-of-evidence analysis, how it
19 conforms to conclusions from selected regulatory
20 agencies, national and international.

21 The first thing is we're here because a
22 test agent is under consideration whether it's
23 carcinogenic. The primary definition is that cancer
24 is a heterogeneous set of diseases that, at the core,

1 based on the dysregulation of cell division and
2 homeostasis, it's not a disease that is very specific.
3 It's heterogeneous, so by analogy with the way we
4 understand infectious diseases, common bioassay
5 systems came about just at the dawn when the
6 industrial revolution and new chemicals came around.

7 For example, cancer or a carcinogen is
8 not considered to be a discrete agent, it is a
9 combination of the agent itself and endogenous
10 factors. With this paradigm, it's inevitable that at
11 the time when we know how to test for cancer, most
12 products of modern chemistry like food additives,
13 pesticides, were under consideration as suspect
14 carcinogens.

15 The biological definition of cancer and
16 carcinogenicity is more complex. It's a combination
17 of exogenous and endogenous factors. It may not be a
18 good regulatory or scientific practice, then, to
19 classify every endpoint determining endogenous or
20 exogenous factor to be carcinogenicity. For example,
21 stress can be carcinogenic. Hormone imbalance can be
22 carcinogenic. But we should not be in a position to
23 say that a natural hormone, for example, can be put in
24 the same category as arsenic or aflatoxin.

1 Cancer is a complex disease. It's an
2 outcome of an interaction with a complex biological
3 system that is multifaceted. Again, there is multiple
4 morphological forms and mechanistic subtypes of
5 cancer. And looking at these multifaceted forms, then
6 a weight-of-evidence review is the best approach to
7 study carcinogenicity.

8 In this sense, then, the U.S. EPA looks
9 at the amount and quality of evidence with respect to
10 carcinogenic potential because with a biological
11 system, obviously, there's going to be many gray
12 areas. It's going to be multifaceted. And so how
13 carcinogens are defined is very important because it
14 has implications for their recognition and regulation.

15 Having made hopefully an introduction
16 that cancer is a multifaceted disease, let's look at a
17 possible way to consider a carcinogen based on the
18 weight-of-evidence review process. There are at least
19 four cardinal points to consider in the weight-of-
20 evidence review of a carcinogen. There's probably
21 more, but I've just restricted to four highlights.

22 The first is molecular structure
23 analysis, which includes in-silico evaluations. And
24 this is usually the first stage in the coordinated

1 process to compare structure of physicochemical
2 properties between carcinogens and non-carcinogens.
3 This is more like first, it could be rational or it
4 could be empirical.

5 Then there's genotoxicity test
6 batteries on the premise that genotoxic events are
7 crucial initiating steps in carcinogenicities.

8 And then there's chronic bioassays on
9 experimental animals. And when properly conducted
10 with sound biological underpinnings, they can be very
11 useful and sensitive ways to determine the
12 carcinogenic potential of test agents.

13 And then there is the human
14 epidemiology studies. Properly conducted with the
15 framework based analysis, again, they can be very
16 useful for identifying causative agents and also the
17 conditions that may predispose or not predispose to
18 cancer. I will discuss these guiding considerations
19 in a little bit more detail.

20 Starting with the molecular structure,
21 there are some structural fragments associated with
22 carcinogenicity and this can serve as sentinel
23 indicators. These are usually collated together into
24 knowledge-based systems that could either be empirical

1 or statistically based. This can be as simple as
2 solubility, Log P, chemical reactivity, sensitivity to
3 pH.

4 For example, I know that this morning
5 there was a talk about glyphosate, whether it's in a
6 salt form or as an acid. Whether it's in an acid form
7 or in a salt form that can be readily disassociated,
8 many structure activity softwares can differentiate
9 between these. Glyphosate as an acid, many of the
10 sources, especially when they are alkali metals or
11 isopropanol main type, are very dissociable with
12 constants of the other (inaudible) seconds.

13 There has been no chemical kinetics
14 that has been able to measure the speed of
15 disassociation. So for all intents and purposes, in a
16 biological system, a glyphosate acid is treated the
17 same way as a glyphosate salt provided that the
18 dissociability is rapid as in ipa salt. Structure
19 activity parameters give us very important
20 information, but like in all biological systems, there
21 can be gray areas.

22 There are some carcinogens that may
23 have very similar structures. And then there are also
24 compounds with very similar structures that either are

1 carcinogenic and the sisters are not carcinogenic; and
2 one example, acetylaminofluorene.

3 These are sentinel indicators. And
4 like all biological systems, the best way to get to a
5 good outcome is to analyze all the evidence
6 coordinately without exclusion, and in the end, weigh
7 them based on evidentiary strengths. The first
8 sentinel indicator is the structural component. And
9 the puzzle pieces that get to the weight of evidence
10 are analyzed further.

11 The next in this series is the
12 genotoxicity. And genotoxicity and the biological
13 basis for their importance centers on the evidence
14 that the majority of chemical carcinogens are
15 mutagens. And many of the mutagens are carcinogenic.
16 And the relationship between these two outcomes is
17 because of a factor common to all organisms, DNA, so
18 that agents that cause mutation in the DNA can also be
19 carcinogenic logically.

20 And like all biological applications,
21 there are caveats. Promoters which are non-mutagenic
22 may be missed by this system. However, if you take
23 the totality of evidence together, it is logical to
24 conclude that mutagenicity is a very strong indicator

1 for you to look forward and see whether there is
2 carcinogenicity.

3 And so, among mutagenicity tests, like
4 for compounds which have been in commerce for a long
5 time, there could be mutagenicity genotoxic studies
6 with different evidentiary restraints. We don't throw
7 away any study, but you have to look at each study in
8 isolation. How does it contribute to the eventual
9 biological outcome based on what you know as the
10 mechanism of action, the aggregate strength of this
11 study, whether it's transparent, whether it follows
12 biologically sound protocol?

13 As we have heard this morning, then,
14 studies conducted in vivo tend to have more weight in
15 terms of human relevance. Studies in mammalian
16 studies are accorded greater evidentiary weight. And
17 if you consider this, then, the totality of this of
18 all the studies conducted on glyphosate, the majority
19 of them with evidentiary strains lead to a conclusion
20 that there is no plausible way this compound is
21 genotoxic. And by inference, there is no expectation
22 that a carcinogenic outcome is expected.

23 And then the next consideration is the
24 chronic bioassays. And the biological relevance of

1 chronic animal studies derive a priori from the fact
2 that they are mammalian systems in line with human
3 systems, and that, however, there are differences in
4 susceptibilities between different animals, even
5 between different life stages.

6 And chronic bioassays are simple. But
7 their simplicity can minimize the complexity of their
8 evidentiary outputs. They can be sensitive or non-
9 sensitive depending on the dose levels, the duration,
10 whether it's a dietary application, whether it's as a
11 solid or a liquid, the kinetics involved in that
12 study, and other indices that reflect physiologic
13 perturbation.

14 Chronic bioassays can be simple, but
15 their outputs have to be analyzed with caution
16 because, again, these are biological studies with many
17 gray areas. And then in this sense, when you get
18 other evidence from another biological study, such as
19 epidemiology, that don't act in opposition to each
20 other, they are supposed to be viewed as appositional
21 evidence of not oppositional.

22 What is interesting is that most of the
23 non-human carcinogens have been determined through
24 epidemiology. However, the same cannot be said for a

1 majority of agents determined to be carcinogenic in
2 chronic bioassays. In one peer et al. review, more
3 than 500 currently marketed pharmaceuticals were
4 reviewed. And these were carcinogenic in chronic
5 animal bioassays, but not in humans.

6 And so, reliance on one biological path
7 for data elucidation can obscure some important
8 evidence for carcinogen identification. Reviews of
9 chronic bioassays in rats and mice showed that
10 glyphosate is not carcinogenic. Again, but as a
11 caution, none of these pathways for reviews or studied
12 systems is perfect in its own right; they have to be
13 viewed in opposition to other test systems.

14 And then we go to the fourth guiding
15 considerations, human epidemiology. This is the most
16 powerful, when conducted properly, because, again, you
17 see the direct human evidence, you get the direct
18 human exposure. And one indispensable approach to
19 weighing the strength of this evidence lies in the
20 review and release of all data, whether they are
21 associative or non-associative with hazards.

22 I know that funding systems and
23 publication, there is more news when you report that
24 an agent is hazardous. There is probably little news

1 to be made when you report that there is no evidence
2 of hazard. There is implicit incentive to release
3 information that is hazardous and that not be that
4 aggressive in releasing information that shows safety.
5 And this is not the case with studies that were
6 considered unpublished data, which are based on
7 regulatory studies.

8 You have to release the information,
9 whether the hazard is there or it's not; conducted
10 properly, provided that all information is released,
11 that there's no exclusion, epidemiology is very
12 powerful. And again, as we heard this morning, the
13 expert panel that conducted this systematic review, on
14 the published glyphosate epidemiology studies, came to
15 the very conclusion that there is no evidence of
16 carcinogenic potential.

17 We look at this idealized way to review
18 the carcinogenic potential based on weight of
19 evidence. If you look at four pieces of information,
20 structural fragments, mutagenicity, chronic animal
21 bioassays, epidemiology, the trend is that there is no
22 association between glyphosate and cancer. The next
23 topic then will be this scientific conclusion based on
24 weight-of-evidence review process to see how multiple

1 national and international agencies have -- whether
2 they agree with this form of evaluation or not.

3 I start with the U.S. EPA. I just go
4 with the 2016 issue paper on glyphosate. Again, the
5 Agency reviewed multiple databases and conducted the
6 biological weight of evidence based on the decision
7 logic approach; looked at all relevant biological
8 indices for carcinogenicity including toxicokinetics,
9 mechanistic approaches, mutagenicity. They looked at
10 chronic animal bioassays on multiple epidemiology
11 studies. And based on the totality of evidence, the
12 strongest, the most conservative statement they could
13 make is that this compound is not likely to be
14 carcinogenic to humans, especially at dose levels
15 relevant to human risk assessment.

16 And the New Zealand Environmental
17 Protection Authority went even further. They
18 conducted a recent review of evidence leading to
19 glyphosate and carcinogenicity. And down their road
20 to a conclusion, they made many preliminary
21 observations that in studies expressing association
22 between glyphosate and cancer, that there was a recall
23 bias and that these studies, most of them, were not

1 controlled trials. And many of them had significant
2 attrition weaknesses that should make them unreliable.

3 And one final thing they said is that
4 these associations are not causation. And in these
5 weak studies, there was no structured analysis such as
6 a Bradford-Hill-type criteria. Based on the weight of
7 evidence, New Zealand Environmental Protection
8 Authority concluded that glyphosate is unlikely to be
9 carcinogenic.

10 Continuing in this line, the FAO/WHO,
11 that is the JMPR, the German Federal Authority on Risk
12 Assessment, EFSA, arrived at their own conclusions,
13 again, which you have heard yesterday. JMPR
14 concluded, based on their weight-of-evidence, that
15 glyphosate is unlikely to pose a carcinogenic risk to
16 humans. And that Germany's Federal Institute for Risk
17 Assessment came to the same conclusion. Again, using
18 the same similar weight-of-evidence approach,
19 different evidentiary strength of different studies
20 and concluded that glyphosate is not carcinogenic.

21 Europe's EFSA, again, came to the same
22 conclusion. The same datasets, they may differ in
23 strengths they give to different studies, but the

1 conclusion is always the same, that glyphosate is
2 unlikely to pose a carcinogenic hazard to humans.

3 And continuing, Australia's, APVMA,
4 Japan FSC, Canada's PMRA in different languages came
5 to the same conclusion. APVMA, from Australia,
6 concludes that glyphosate does not pose a cancer risk
7 to humans. Japan's FSC concludes that no treatment
8 related hazard, including carcinogenicity, can be
9 observed following exposure to glyphosate. And
10 Canada's PMRA concludes that glyphosate is unlikely to
11 pose a human cancer risk.

12 We've seen a remarkable case where a
13 structured analysis based on a scientific process
14 recognizing the biological system with all the gray
15 areas. This scientific process leads to a conclusion
16 that, in spite of apparent discrepancies in limited
17 studies that glyphosate is not carcinogenic to humans.
18 All international regulatory agencies, all, maybe with
19 one exception, have also come to the same conclusion.

20 The question now is how do we define a
21 problem that we have today. And I have a 1938
22 observation that may have some relevance here. That
23 to date the problem is not a matter of skill or
24 anything else. The problem is how do we define,

1 formulate, a problem that we have today. The problem,
2 the basic problem, is how should glyphosate
3 carcinogenic risk be communicated? Because we have
4 seen the overall conclusion is that the risk is not
5 there.

6 The best form of this communication
7 should provide a biological context, recognizing the
8 biological complexity of carcinogenicities. And this
9 communication should not provide a mixed message to
10 the public about what is or what is not a carcinogen.
11 And this should also convey a risk-based paradigm that
12 can inform a transparent public health policy.

13 Because cancer is a heterogeneous
14 process, a hierarchical form of description is not as
15 good as a narrative-based form such as the EPA is
16 using. The EPA's current descriptive approach, based
17 on their weight-of-evidence review process, has a very
18 sound biological underpinnings, very sound scientific
19 underpinning, and represents the most appropriate
20 process. And thank you for your attention.

21 **DR. JAMES MCMANAMAN:** Any questions for
22 this presenter?

23 (Whereupon there was no response)

24 Okay. Thank you very much.

1 DR. AMECHI CHUKWUDEBE: Thank you.

2 DR. JAMES MCMANAMAN: So if I could,
3 Dr. Bus and Dr. Levine, if you could come up.

4 DR. STEVEN LEVINE: You could bring up
5 presentation first. I'm going to go, and then Jim's
6 going to follow me.

7 DR. JAMES MCMANAMAN: Okay.

8 DR. STEVEN LEVINE: We have sister
9 talks.

10 DR. JAMES MCMANAMAN: All right.
11 During this time, we can welcome Dr. Portier here.

12 DR. KENNETH PORTIER: I'm glad to be
13 here. Thank you.

14 DR. JAMES MCMANAMAN: I bet you are.
15 Dr. Levine, you want to turn the mic
16 off in the center? Not yours, but the one next to
17 you. Thanks.

18 DR. STEVEN LEVINE: Which presentations
19 did you want to do first, the New Farm or the
20 CropLife? It looks like New Farm.

21 DR. JAMES MCMANAMAN: I think it looks
22 like you are on the CropLife one, right?

23 DR. STEVEN LEVINE: Yes.

1 **DR. JAMES MCMANAMAN:** Okay. Did we do
2 the wrong one?

3 **MR. STEVEN KNOTT:** Sorry. I thought we
4 were doing the New Farm's next.

5 **DR. JAMES MCMANAMAN:** There you go.

6 **DR. STEVEN LEVINE:** Great. Thank you.
7 I'd like to first start out by thanking the DFO, the
8 Chair, and the panel for the opportunity to give these
9 comments on behalf of CropLife America. My name is
10 Steve Levine. I'm an environmental toxicologist with
11 Monsanto. And Jim Bus will be giving a talk, also, on
12 behalf of CropLife on oxidative stress following my
13 talk.

14 What I'm going to give comments on
15 today are the research plan presented in Section 7 of
16 the Issues Paper. And there are currently no charge
17 questions associated with Section 7. But I wanted to
18 make a few comments on that this afternoon. Section 7
19 outlines a research plan. Section 7 outlines a
20 research plan to develop publicly available MOA/AOP
21 data for glyphosate, glyphosate-based formulations, as
22 well as some of the components of those formulations,
23 namely surfactants, which do have a well-established
24 mode of action.

1 Section 7 was primarily included to
2 address studies in the open literature suggesting
3 glyphosate and glyphosate formulations may be
4 genotoxic or cause oxidative stress or potentially
5 impact other endpoints. As part of NTP's research
6 program, they're going to initiate it with a
7 systematic review of the literature. And we heard
8 earlier this morning from Dr. Kirkland and yesterday
9 from EPA about data quality criteria that can be used
10 to evaluate the relevance and reliability of that open
11 literature data.

12 As I said earlier, EPA does have a
13 well-established data quality procedures, again, to
14 assess the relevance and reliability of literature.
15 And the recommendations that NTP would benefit from
16 leveraging these criteria in their assessment to
17 determine if data can be used quantitatively,
18 qualitatively, or not at all in the assessment.

19 Another key point I'm going to make
20 here, and I'll talk about more throughout the talk, is
21 that mode of action studies need to be designed to
22 minimize any confounding factors, whether that's
23 cytotoxicity in in vitro systems or overt and systemic
24 toxicity in in vivo studies.

1 When you are conducting mode of action
2 studies, you're not simply testing for an adverse
3 effect. Rather, you are testing for an adverse effect
4 through a specific mechanism. You're testing a
5 specific hypothesis here. And when you're doing that
6 type of work, dose setting takes on greater
7 importance, that the results are not confounded by an
8 extraneous factor.

9 This is particularly important when
10 you're testing molecules such as surfactants, which
11 include detergents, because those molecules have non-
12 specific activity and can easily confound the results
13 of a mode of action analysis. And those surfactants
14 are added to the formulation to spread the glyphosate
15 on the leaf surface and increase its efficacy.

16 The mode of action of surfactants is
17 well established, and there's a long history of safe
18 use. And I'll talk more about that in a moment. But
19 what is known is that surfactants can produce eye,
20 skin, and GI irritation due to their surface activity.
21 But GI irritation is a threshold effect only observed
22 at high doses that would not be achieved under typical
23 daily human exposures.

1 And we had a fair amount of information
2 presented this morning and yesterday, as well, on
3 realistic human exposures. Today, we got a nice
4 presentation on refined exposures based on what is on
5 commodity products as well as biomonitoring studies to
6 give us a good idea there.

7 When you're testing surfactants,
8 because of their surface activity, it's important that
9 in any type of in vivo study that it's dietary
10 exposures versus gavage exposures to really avoid that
11 local GI irritation. And that's the approach that was
12 taken by the Joints Inerts Task Force which developed
13 toxicology databases for different classes of
14 surfactants, including the ones in glyphosate
15 formulations. And these studies demonstrated a large
16 margin of safety for those surfactants and allowed
17 those tolerances to be reinstated for those
18 ingredients.

19 ToxSAC, which is at HED, which is their
20 Toxicology Scientific Advisory Committee, did not
21 identify any concerns for carcinogenicity include the
22 absence of structural alerts for surfactants in ag
23 formulations that were assessed for tolerance
24 exemptions. And we also heard about the DEREK

1 predications from Dr. Kirkland for glyphosate. And
2 the same was true for these surfactants that were
3 evaluated.

4 As I had already said, surfactants
5 demonstrate non-specific activity. And a nice example
6 of this case study comes out of ToxCast where a number
7 of surfactants were run through ToxCast. So ToxCast
8 is a battery of about 700 in vitro assays looking at
9 about 300 different cell-signaling pathways. And what
10 this analysis for surfactant showed is that a
11 disproportionately large number of hits in the mode of
12 action assays with surfactants were confounded by
13 cytotoxicity. They were very difficult to interpret.

14 These surfactants demonstrated low
15 specificity because of disruption of cell membranes,
16 protein-protein interactions, and effects on
17 mitochondrial function. And these effects on cell
18 membranes is what makes soaps such good sanitizers and
19 really have been one of the most important molecules
20 in human history.

21 Because of these non-specific effects,
22 it makes it very difficult to address a specific mode
23 of action. And many endpoints can be affected over
24 the same concentration range. And to really

1 understand what's going on in a sequential way, you
2 really need to do time-to-effect experiments when
3 they're these types of in vitro experiments to
4 understand the cascade of events, membrane disruption,
5 effects on cytosolic proteins, mitochondrial function.

6 This picture up here, this diagram up
7 here on the slide, is just a simple diagram to show --
8 on the right here, this is a typical surfactant, non-
9 ionic surfactant which is commonly in glyphosate
10 formulations. We have an alkyl chain which is
11 hydrophobic, a hydrophilic head. And these are able
12 to insert into the membrane, into the cell membranes.
13 And at critical concentrations, high concentrations,
14 enough can get into the cell membrane to cause
15 disruption, affect ionic balance in the cell leading
16 to cytotoxicity.

17 But again, this is a phenomena that
18 happens at relatively high concentrations, much
19 greater than you would expect under typical human
20 exposures to these compounds through dietary
21 exposures.

22 Back in 2010, the subcommittee on
23 Energy and the Environment and the subcommittee on
24 Health met and discussed primary validity requirements

1 for regulatory science. And these are all
2 requirements that NIH had agreed to. The first was
3 the identity and authenticity of scientific
4 measurements must be verifiable within a defined range
5 of precision. Okay.

6 This really talks to the studies need
7 to be adequately powered and have probative nature.
8 We've had discussions about what guideline studies are
9 earlier in the SAP. And I just wanted to say that
10 those studies are really designed to have the power
11 and sensitivity to detect an adverse effect at the
12 doses that are tested if such an adverse effect is
13 possible at those levels.

14 Number two, measurements and
15 observations must be replicable in independent hands.
16 That's a classic hallmark of any good science.

17 And third, measurements and
18 observations, i.e., endpoints, should not be
19 confounded by extraneous factors. And this is
20 particularly important for formulation studies and in
21 vitro studies where surfactants are tested. And I
22 just wanted to go through three quick examples of how
23 these extraneous confounding factors could affect the

1 interpretation, in this case of in vitro results, just
2 to give some examples.

3 Here's an example of an estrogen
4 receptor competitive receptor binding assay. And on
5 the left, we have Estradiol. And on the right, we
6 have sodium dodecylbenzene sulfonate, also known as
7 linear alkylbenzene sulfonate or LAS. This is found
8 in laundry detergents. Billions of pounds of this are
9 produced every year for cleaning purposes. What we
10 see on the left is, again, the typical estrogen
11 receptor binding curve. And we're seeing binding over
12 about five orders of magnitude.

13 However, on the right, with the
14 surfactant, we're seeing what some could interpret as
15 a binding curve or perhaps a false positive, in this
16 case. What's going on here is we're seeing a very
17 quick decrease in binding over very few orders of
18 magnitude, two orders of magnitude here, which is not
19 characteristic of a competitive or a noncompetitive
20 inhibitor. We're seeing something going on here.

21 And what it is denaturing of the
22 receptor by high concentrations of surfactant added
23 into the test system. And you could actually do
24 secondary analyses on these types of data to

1 demonstrate it's either competitive or noncompetitive.

2 And this is really an impact on a cell-free system.

3 This is not competitive or noncompetitive binding.

4 And this is a figure out of Laws et al.

5 2006 who validated this assay for the endocrine

6 disruptor screening program. And it was not an easy

7 assay to validate because of the nonspecific effects

8 of the universal chemicals that were tested through

9 the system.

10 Here's a second example. I'm sorry if

11 this is hard to see. This is an example looking at

12 glyphosate in Roundup on inhibition of aromatase

13 activity so steroidogenesis, the final step in

14 steroidogenesis. In this assay, glyphosate was

15 tested at levels that greatly exceed what humans,

16 again, would be exposed to, and better approximate,

17 actually, what's in the spray tank.

18 What I have with the red line here, the

19 red dotted line, is what would be a limit dose for

20 this type of assay in a regulatory study. That's

21 1,000 micromolar. That's a high concentration to put

22 into an in vitro system. All the concentrations that

23 were tested in this study are greater than 1,000

24 micromolar. But what we see with glyphosate is a

1 decrease in aromatase activities as concentrations
2 test. This is, in fact, a pH effect. This is not
3 inhibition of aromatase activity.

4 This is, again, actually denaturing of
5 the enzyme at below physiological pH. We actually did
6 this assay for the endocrine program. Glyphosate is
7 not an aromatase inhibitor. And we tested up to a
8 1,000. The top concentrations confirmed that in this
9 assay. But I just wanted to point out that there's a
10 very strong confounder in this study. And if you
11 actually look at the cytotoxicity data, the
12 cytotoxicity data corresponds with the decrease in
13 aromatase activity on the top graph.

14 The same is true when Roundup was
15 tested. This was, again, put directly into cells in
16 culture. And we can see cytotoxicity and effects on
17 aromatase activity co-occurring at approximately the
18 same concentration. This is not a direct inhibition,
19 but rather, again, a non-specific effect of a
20 surfactant on a protein.

21 The real big takeaway here is that in
22 vitro data generated at the supraphysiological
23 exposure concentrations that don't consider barriers,
24 that don't consider metabolism, really must be

1 interpreted with extreme caution. And a fair amount
2 of studies like this are in the literature for Roundup
3 for glyphosate. That's why a data quality assessment
4 in ranking these studies is so important before any
5 information is used to inform an MOE assessment.

6 This is just one final example on this
7 slide. This is, again, another example with steroid
8 agenesiis. We're looking at inhibition of progesterone
9 synthesis. And this is with an alcohol ethoxylate,
10 which is a very common surfactant used in the
11 household for laundry products, dishwashing.

12 This is a product we use to wash our
13 dishes with at home. It's good at cutting grease.
14 But it's also good at disrupting cell membranes for
15 the same reasons at these physiological
16 concentrations. And you do find these in pesticide
17 formulations. They've been a common replacement for
18 nonylphenol ethoxylates, hard surface cleaners, rug
19 cleaners, et cetera.

20 What I wanted to point out here was
21 that not only are cytotoxicity assays important to run
22 concurrently, but you have to run the right
23 cytotoxicity assays. The first steps in steroid
24 agenesiis take place in the mitochondrial membrane.

1 That's where pregnenolone and progesterone are
2 synthesized. In this assay, and this is from a paper
3 I published several years ago, we actually looked at
4 mitochondrial electrochemical potential. When that
5 electrochemical potential is shot down, steroid
6 agensis is shut down.

7 And what we're able to show here is
8 that disruption of the electrochemical gradient in
9 concentrations below and at where we saw inhibition of
10 progesterone synthesis were added in. That's really
11 the explanation for effects on progesterone synthesis.

12 But again, we see many articles out
13 there in the literature like this that really don't
14 look at the right types of cytotoxicity assessments.
15 And it depends how long your assays are, what type of
16 cytotoxicity assessment you look at whether it's
17 early, middle, or late event so careful consideration
18 needs to be put there, as well.

19 What this was getting to is an example
20 from Section 7 where there is a published graph where
21 a number of different formulations that were bought
22 off the shelf were tested against HepG2 cell lines.
23 And the endpoint here was ATP production. That's what
24 luminescence is measuring, ATP levels. And it's not

1 surprising to see concentration-dependent effects in
2 this system at these relatively high concentrations.

3 One of the interesting things in this
4 diagram here is that a formulation with a relatively
5 low glyphosate concentration has the most significant
6 effect. And the reason for that is that this
7 formulation very likely contains pelargonicacid, which
8 is added to some homeowner-use products to develop
9 symptomology on the plant.

10 Glyphosate is a very slow-acting
11 herbicide. And to keep homeowners from doing a second
12 or third application because they may not have thought
13 that they actually hit the weed, it's good to see a
14 little bit of browning. At least they know they
15 sprayed it. And what this does to create browning is
16 basically strips off the cuticle, which has very
17 similar properties to a cell membrane.

18 It's not surprising to see this
19 formulation that is 1.9% glyphosate and likely
20 pelargonicacid to have a curve way over here versus
21 some of the higher concentration glyphosate
22 formulations which are further over to the right.

23 And again, I just wanted to make the
24 point that these types of studies have to be

1 interpreted with extreme caution, again, because of no
2 barriers, not really having estimate of what reaches
3 the site of action in the whole animal. And again,
4 we're looking at relatively low exposures compared to
5 what's being put into these in vitro systems.

6 I'm just going to end quickly with some
7 closing remarks. Again, I wanted just to hit on this
8 importance of having a data quality assessment of any
9 literature that's used to inform a research plan or
10 brought into weight-of-evidence evaluations to look at
11 a potential mode of action and develop an adverse
12 outcome pathway.

13 This is particularly important for in
14 vitro assays because that's what sits at the front of
15 an adverse outcome pathway. Generally, it's
16 subcellular, cellular data, mechanistic endpoints.
17 And if the wrong interpretation of the data is made at
18 that point, it can really put you down the wrong road
19 when you're going into animal testing.

20 Again, dose setting takes on much
21 greater significance when investigating specific modes
22 of action. Again, you're testing specific hypotheses,
23 an adverse effect through an estrogen mechanism, an
24 adverse effect through some other type of mechanism.

1 And that cannot be confounded by testing high levels
2 of materials in in vitro systems which will you give
3 you those wrong signals.

4 I'm going to stop there and pass it
5 over to Jim. And he's going to pick up on some of
6 these things when he talks about oxidative stress.

7 **DR. JAMES BUS:** Again, my name is Jim
8 Bus. And good afternoon to all of you. I'm going to
9 give you a very brief overview of the issue of
10 oxidative stress as a potential mode of action for
11 glyphosate carcinogenicity. This issue, of course,
12 was brought to the table initially by the emphasis
13 that was put forward in the IARC review of glyphosate
14 in which they concluded that there was strong evidence
15 of oxidative stress associated with glyphosate.

16 That is really the interest that should
17 be before the SAP in terms of the carcinogenicity of
18 glyphosate in terms of is oxidative stress, in fact, a
19 plausible mode of action that might potentially
20 account for tumorigenicity of glyphosate. I certainly
21 should emphasize, and I'm sure you are more than
22 aware, that this is not a specific charge question of
23 the Science Advisory Panel. But it is certainly
24 commented on in the issues document in Chapter 7.

1 As I just mentioned, IARC in 2015 in
2 their review of glyphosate concluded that oxidative
3 stress provided strong supporting evidence as a
4 plausible mode of action that glyphosate could be
5 probably to a human carcinogen. The actual EPA issues
6 paper took notice of that conclusion.

7 It actually forwarded that conclusion
8 to the National Toxicologist Program Workgroup for
9 further evaluation. And as you can see there in the
10 red, that particular workgroup looked at the available
11 data that was included in the IARC review. And they
12 did not agree with IARC that the data provided a
13 strong or clear evidence for induction of oxidative
14 stress, given protocol deficiencies that could produce
15 questionable results. What I'm going to do with you,
16 basically, for a few moments is take you through some
17 of that data that led the NTP to that conclusion.

18 Push this button. It's important to
19 note that, obviously, mode of action science has
20 played an active role in decisions that IARC has made
21 as well EPA for a number of years, appropriately so.
22 And this is a slide that basically was presented by
23 Dr. Chris Portier at a 2015 toxicology forum meeting

1 and modified from a slide developed from Vince
2 Cogliano when he was at IARC.

3 And all this is intended to show you is
4 that mode of action work, increasingly, has been taken
5 very seriously in terms of how it can inform hazard
6 and risk assessment decisions associated with
7 chemicals that potentially might produce carcinogenic
8 responses in animals.

9 And it illustrates that with mode of
10 action information you have the potential options of
11 using that information to inform when the human
12 plausibility of the carcinogenicity of an agent could
13 either be upgraded, in other words, it should be
14 viewed as potentially greater hazard, or even
15 potentially downgraded, as well, depending on what
16 that mode of action information would tell you.

17 A few years ago, IARC appropriately did
18 realize that, in fact, with the explosion of mode of
19 action science that has entered into the world
20 toxicologic science in recent years, and it's only
21 going to continue to grow, probably exponentially, in
22 the years ahead because of the advances in molecular
23 sciences that are now playing actively in the field of
24 toxicology.

1 They certainly recognize that there
2 was a real need to begin to find a way that, perhaps,
3 would better organize this vast and complex body of
4 mode of action information so that it would better
5 help individuals who are in the roles of making
6 judgements about carcinogenicity of chemical agents so
7 that they could have a way to organize that data into
8 a way that might help them formulate better hypotheses
9 and conclusions about potential carcinogenicity.

10 And that was illustrated and basically
11 accomplished, at least in part, through a series of
12 workshops that IARC sponsored and ultimately published
13 in a paper in Environmental Health Perspectives
14 authored by Martyn Smith and others, just in 2016.
15 And IARC, basically, looked at a series of their class
16 I carcinogens.

17 And they asked the question do we see
18 some common characteristics across those compounds in
19 terms of mode of action type of information that we
20 might be able to use an opportunity to help us
21 organize this complex database that's evolving with
22 mode of action science. And here you have here the
23 list of what they landed on, which was 10 key
24 characteristics of human carcinogens.

1 And I've certainly highlighted there in
2 red, which is the one which is the focus of the
3 conversation today, is they identified oxidative
4 stress as one of those characteristics of chemical
5 agents that might potentially identify them as
6 potential human carcinogens.

7 IARC, however, certainly realized that
8 they were in the relatively infancy in terms of how
9 this organization would proceed. And their analysis
10 as such, at this stage in point, is not fully
11 supported with robust analyses. By way of example,
12 they entirely focused, in terms of developing these 10
13 key characteristics, only on their IARC Group I
14 chemicals.

15 And then the individual characteristics
16 that they identified, including oxidative stress, at
17 least in the publication presented by Dr. Smith and
18 others, really didn't go into any great depth in terms
19 of explaining the fundamental biology of toxicology
20 that would ultimately be understood to be contributed
21 to those cancer outcomes. However, as you could see
22 from the previous slides, there's clearly elements
23 like cell proliferation which, I think, are well-

1 established elements within the toxicology community
2 as key contributory elements to cancer outcomes.

3 And certainly, oxidative stress fits
4 into that category, but although the literature they
5 cite certainly only was based on two review articles,
6 not any actual primary science. Also, IARC did not
7 include in their evaluation in terms of their
8 development of these 10 key characteristics whether
9 those characteristics must also occur which compounds,
10 which in their own evaluations are not generally
11 regard as perhaps having a higher potential for cancer
12 hazard.

13 And by way of example, if you just
14 rapidly screen the literature, you quickly find that
15 the same oxidative tests that they illustrate as
16 evidence for glyphosate oxidant stress, if you apply
17 those same tests to the class III compounds, you'll
18 find many of those class III compounds have been
19 tested the same way and also produce oxidant stress,
20 as well.

21 But another interesting concept behind
22 their development of these 10 key characteristics,
23 particularly with respect to oxidative stress, is they
24 didn't have any discussion at all about key

1 counterfactuals. And the ones I've listed here are
2 two, I think, very important because they're both
3 agricultural chemicals, paraquat and diaquat.

4 And for those of you who might be
5 familiar with those compounds, these are, in fact, the
6 prototypical oxidant stressors in the toxicologic
7 literature. The primary and only metabolism of these
8 compounds is to undergo redox cycling. And once they
9 enter into the cell, the only thing they're going to
10 do is sit there and spin off oxidative radicals.

11 But yet, both of those compounds,
12 because they are agricultural chemicals, have been
13 subjected to two species and two sex rodent bioassays.
14 And neither of them are regarded as rodent
15 carcinogens. So certainly, oxidative stress, per se,
16 or chemicals that are really the most active as
17 oxidative stressors are not producing carcinogenicity
18 in our existing bioassay systems.

19 IARC then stepped forward and said,
20 well, what we -- they did, in fact, realize the
21 limitations that were associated with this initial
22 analysis. And in fact, they identified that their
23 primary purpose, at least initially, was to say how do
24 we condense all this massive literature emerging from

1 the toxicologic literature into reasonable bins, so to
2 speak, that we can begin to sort through and develop
3 rational hypothesis for potential mode of action
4 assessments.

5 But they certainly recognized that this
6 particular process could fall prey to, for instance,
7 scenarios where there's not a lot of particular mode
8 of action information. And certainly, that applies to
9 glyphosate. When you look at the actual mode of
10 action information, and I'll comment on this in the
11 next few slides, it is, indeed, very limited.

12 But most importantly, there was another
13 key concept that IARC emphasized in their Smith 2016
14 publication. And that was mode of action science,
15 obviously, is not as simplistic of just simply
16 dropping papers into the bins of the different 10 key
17 characteristics and then looking in those bins and
18 counting the number of papers. And the number of
19 papers, then, equate to the mode of action likelihood.

20 They emphasize that, obviously, there's
21 a vast experience with dealing with mode of action
22 information and that fundamentally what has to happen
23 with those datasets is they have to be subsequently
24 organized to form hypotheses that evaluate the

1 evidentiary support for mechanistic events as a
2 function of other key relevant aspects of information
3 that's absolutely critical to mode of action
4 assessment.

5 For instance, consideration of dose-
6 response, species specificity, or temporality of the
7 response. All of those are recognized in the mode of
8 action science community as being critical elements to
9 any reasonable mode of action evaluation. The
10 question then becomes in that IARC evaluation as
11 classifying glyphosate as having strong evidence of
12 oxidative stress, did they, in fact, follow those
13 reasonable mode of action principles in terms of
14 coming to that conclusion.

15 And I'll show you a few pieces of
16 information relative to how they approached it. These
17 are the datasets which they had available to them in
18 terms of their evaluation of the oxidative stress.
19 And on the left-hand side, they really focused on
20 really two types of analyses that are important.
21 Obviously, evidence of oxidative stress in human
22 tissues in vitro because obviously, that gets as
23 potentially relevant to humans as possible. And then,
24 subsequently, the possibility of other data also

1 existing in other non-human but mammalian species
2 conducted in vivo.

3 But clearly, what you can see from
4 these studies that IARC was addressing that this
5 endpoint, oxidative stress as it relates to
6 glyphosate, actually falls into that category, as IARC
7 even cautioned about, as falling into the category of
8 having only limited evidence available. There is
9 really only a total of 14 studies that were cited for
10 both human in vitro and non-mammalian in vivo.

11 But more importantly, and it touches on
12 what was just presented by Steve just a few moments
13 ago, when you look at these particular datasets as
14 they were presented, many of them -- in fact, the
15 primary proportion of the studies that were examined
16 were, in fact, conducted with formulations. And in
17 fact, it was the formulations, as you can see in the
18 third column over, that produced the primary responses
19 in these oxidative stress studies.

20 But likewise, and equally important,
21 you'll notice there in the fourth and fifth column the
22 fact that most of these studies that were cited by
23 IARC were either single-dose studies or single-time-
24 point studies. And again, given the emphasis in mode

1 of action evaluations to have multiple doses or
2 concentrations so that you could construct dose-
3 response analyses and ultimately relate that back to
4 apical toxicity events. A single-time-point study
5 really doesn't provide much useful information in
6 terms of informing mode of action.

7 And likewise, with time point, it's the
8 same because if you don't understand whether the event
9 that you're observing oxidative stress in this case is
10 occurring early on relative to the subsequent apical
11 events, it really is not informative in terms of where
12 that fits into the overall process.

13 Another key consideration, of course,
14 with mode of action studies is are they conducted in
15 the relevant tissues. And in the case of glyphosate,
16 for both the human in vitro and the non-mammalian in
17 vivo, as you can see, most of them were not conducted
18 in the tissues that IARC regarded as relevant for the
19 apical mode of action issue of concern, which in this
20 case was kidney tumors, which was the tumor endpoint
21 which they put weight on, and possibly
22 hemangiosarcomas. The tests that were done were not
23 done in those tissues.

1 And then likewise, oxidative stress,
2 there is a large body of literature by the oxidative
3 stress research community that strongly emphasizes
4 that when you're doing research in oxidative stress
5 you need to be exquisitely aware of the possibility
6 that, number one, if you're just using a single
7 biomarker of oxidative stress, you can be very prone
8 to coming to false conclusion. And that really, if
9 you're doing oxidative stress research, you're much
10 better served by having multiple biomarkers and
11 indicators of oxidative stress rather than just a
12 single one.

13 And again, as you can see from the
14 numbers that I'm presenting here, all the studies that
15 IARC had to evaluate essentially suffered from that
16 particular difficult and/or they used methods which,
17 again is well known in the oxidative stress they can
18 be prone to artefactual responses. The bottom line
19 there, there was a body of evidence associated with
20 non-mammalian evaluations. And I'll come back to that
21 in just a few moments.

22 As I mentioned before, a really key
23 evaluation associated with mode of action evaluation
24 is what is the context of dose and exposure. And here

1 I'll give you some dose relevance of what was observed
2 for oxidative stress in those human cell tests
3 compared to doses that were actually given to whole
4 animals. As you might imagine, there is toxicokinetic
5 data available for glyphosate in whole animals.

6 And really, the question that I'm
7 posing here in that first major bullet is if you have
8 a concentration that produces evidence of oxidative
9 stress in an in vitro test system, how much of a dose
10 would you have to give to a rat, by way of example, to
11 get that tissue concentration or blood concentration
12 that produced that oxidative stress response in vitro.

13 And in the case of a rat, we know that
14 a single oral dose by gavage of 400 mg/kg will produce
15 a maximum concentration in the plasma of 4.6 ug/ml.
16 That's kind of the reference concentration which we
17 need to frame the results of the in vitro studies that
18 I'm describing in the next major bullet.

19 Four of the seven studies that were in
20 this category of testing, in in vitro human cell
21 types, were actually done at the LC50 concentrations
22 of those materials on the various test systems. And
23 as Steve indicated, when that happens, obviously, when
24 you're at LC50 concentrations the cell is into very

1 significant biochemical disruption. It's almost
2 impossible, in fact, it is impossible, to attribute
3 dose-responses to a primary oxidative event versus a
4 secondary event associated with massive cell
5 disruption.

6 The other thing you'll notice with
7 those four of seven studies, that they're, again, as
8 Steve just emphasized there is a dramatic difference
9 between when you test glyphosate acid or you test the
10 glyphosate formulation. The first study was done as a
11 formulation. And it produced its oxidative evidence
12 at 40 ug/ml and the next one at 376. But when pure
13 glyphosate was tested, you can see there's a dramatic
14 difference in terms of the concentrations necessary to
15 elicit the oxidative stress.

16 That same phenomenon was also seen in
17 the next sub-bullet down, basically, where if you test
18 at doses or concentrations less than the LC50
19 concentration, nonetheless, it still illustrates that
20 glyphosate in liver cells, by way of example, still
21 was negative at glyphosate concentrations of 900
22 ug/ml. Where the formulation, on the other hand, you
23 could see in that same study, actually produced
24 activity at a much lower concentration.

1 And the next two points merely
2 illustrate, again, that glyphosate as a pure compound
3 is relatively inactive in terms of inducing oxidative
4 stress. The last line shows that in red blood cells a
5 pure glyphosate did elicit oxidative stress at 42
6 ug/ml. But they used only a single biomarker of
7 oxidative stress. Again, those results have to be
8 taken with some question.

9 But more importantly, how do these
10 concentrations really compare to how much of a dose
11 you would have to give to a rat to get those
12 concentrations. And as you can see from the red
13 bullet down at the bottom, the test concentrations
14 that produce the oxidative effects were anywhere from
15 9 to 820 times higher than the blood concentration
16 resulting from dosing a rat with 400 mg/kg per day.

17 Even if you took that value of nine on
18 the left side, in order to get to that concentration
19 produced by the nine-fold higher, you would have to
20 dose a rat with 4,000 mg/kg. And obviously, the
21 others are very much dramatically higher, in fact,
22 would stretch the dose in those animal studies to
23 literally the tens of grams per kilogram of glyphosate
24 per day.

1 And keep in mind that, of course, as
2 we've mentioned in our conversations this morning,
3 there's excellent human biomonitoring studies that
4 said humans are not anywhere near exposed to 400
5 mg/kg. In fact, it's much more realistic, based upon
6 biomonitoring studies, that those exposures are
7 probably in the range of less than 0.005 mg/kg per
8 day. There's a dramatic difference between the
9 concentrations eliciting these oxidative stress in
10 terms of what could be dosed to an animal and even far
11 more different effects between what could be expected
12 to be exposed to in humans.

13 Well, what about the animal toxicology
14 studies producing oxidative stress? And again, you
15 can fall back to the dose-relevance comparison.
16 Again, as you heard this morning, there are a number
17 of biomonitoring studies. And Dr. Acquavella
18 described his study this morning where the maximum
19 dose to a farmer is in the range of 4 um/kg/day. And
20 the spouses and children are significantly lower.

21 There's also been a number of other
22 biomonitoring studies that are available for
23 glyphosate in humans. Those studies have been
24 reviewed myself in a publication in Regulatory

1 Toxicology and Pharmacology in 2015. And those doses
2 usually have been confirmed to be in the range of 0.1
3 to 5 um/kg/day as maximum concentrations that have
4 been detected as a result of biomonitoring of humans
5 environmentally exposed to glyphosate.

6 How do those dose levels compare to
7 what was used in the animal studies? Well, two out of
8 the seven studies used glyphosate at 10 mg/kg or 300
9 mg/kg. But importantly, note that those exposures
10 were conducted by the intraperitoneal route of
11 exposure. Of course, that circumvents the oral route
12 of exposures to which humans are exposed to. And by
13 giving them i.p. you basically dramatically increase
14 the systemic bioavailability of glyphosate relative to
15 what the environmental exposure would be, which would
16 be oral.

17 Likewise, the next studies are equally
18 high doses, again, conducted by i.p. Another one was
19 done by dermal exposure. And that is even worse than
20 i.p. because, obviously, the dermal absorption of
21 glyphosate in terms of systemic absorption has been
22 estimated at as less than 1 percent. A few other
23 gavage studies, there was one drinking water study

1 done with a formulation at 0.38 percent of the
2 formulation in drinking water.

3 But I should mention that that
4 particular study was additionally confounded that
5 after the animal dosing was completed, it was actually
6 an evaluation of oxidative stress in brain tissue.
7 They isolated a brain tissue slice. And then they co-
8 incubated that slice with a 0.01 percent formulation,
9 again. They really double-hammered those animals with
10 respect to that study.

11 Again, when you step back and look at
12 these dose levels that produced evidence of oxidative
13 stress in these in vivo studies, again, you come to
14 the conclusion that those doses are very substantially
15 separated from maximally exposed individuals. This
16 would be individuals directly handling concentrated
17 formulations in the occupational scenario associated
18 with pesticide applications. So again, there's a lack
19 of dose relevance, again, of oxidative stress
20 associated with these particular studies.

21 Just a few brief comments about non-
22 mammalian evaluations, again, these are studies
23 conducted with wildlife species. And all the studies,
24 by the way, were conducted as formulations, which, of

1 course, renders their interpretation of very
2 questionable value. Six of the 19 studies actually
3 came from a single laboratory. And most of those
4 studies actually resulted in negative or equivocal
5 findings using oxidant-sensitive enzyme-based assays
6 to measure changes in the comet assay.

7 And, again, they used native-caught
8 European eel species. So, again, the biology of those
9 species relative to how they might perform to
10 mammalian systems is really unknown. There were only
11 2 of the 19 studies that actually tested pure
12 glyphosate. One of those studies it was a comet
13 assay, again, in the European eel. And that study was
14 actually negative.

15 Another study, basically the only
16 evidence that was demonstrated for oxidative stress
17 was a very simplistic level of biomarker, a down
18 regulation of the super oxide dismutase gene and an
19 upper regulation of catalase. And that was done in
20 zebrafish and in testes, which, of course, is not a
21 target tissue for glyphosate carcinogenicity.

22 And one study in the environmental
23 species was a mixture of eight pesticides in oysters.
24 it's absolutely impossible to attribute any response

1 there to glyphosate treatment. The non-mammalian
2 species, basically, do not inform the plausibility of
3 oxidative stress as a human cancer mode of action
4 indicator.

5 In conclusion, then, as you look across
6 the oxidative stress literature that IARC actually
7 reviewed, there was no evidence that IARC took the
8 important steps of actually integrating the data
9 analyses to form a reasonable mode of action
10 hypotheses to assess the relevance of oxidative stress
11 as a meaningful contributor to potential cancer
12 outcomes.

13 In fact, it appears much more likely
14 that what they simply did was to take these oxidative
15 stress papers and drop them into the bin of oxidative
16 stress as one of the 10 key characteristics and then
17 say simply, well, because there are papers in the bin
18 that must mean that there is strong evidence of
19 oxidative stress.

20 In the actual monograph for IARC, no
21 real attempt is made to really do the critical element
22 of any mode of action assessment, which is to
23 establish the relationship, as I've indicated, of dose
24 temporality, coherence, consistent target organ

1 relevance. And none of those were addressed in the
2 IARC Monograph relative to oxidant stress.

3 So glyphosate was certainly determined
4 by IARC as having strong evidence of oxidant stress
5 but, yet, when you go and evaluate what do they mean
6 by "strong evidence," there is no place either in
7 their preamble or in the Smith paper where they
8 provide criteria that would allow their reviewers to
9 differentiate what level of evidence would make the
10 difference between classifying an agent as weak,
11 moderate, or strong, which are the three categories
12 which they have available to place a mode of action
13 science into.

14 As a result, in spite of these
15 substantial data deficiencies and actually, analysis
16 of the science deficiencies, IARC, nonetheless, used
17 oxidative stress as the basis to support, in part,
18 IARC's classification as a two-way carcinogen. And as
19 you can obviously tell from my evaluation of the data,
20 I would believe that the oxidative stress evaluation
21 of IARC falls far short of attributing oxidative
22 stress as a plausible mode of action for
23 carcinogenicity.

1 And I certainly would agree with the
2 conclusion of the evaluators of the National
3 Toxicology Program, which essentially came to the same
4 point. Thank you.

5 **DR. JAMES MCMANAMAN:** Thank you. Any
6 questions for Dr. Bus or Dr. Levine?

7 Yes?

8 **DR. ARAMANDLA RAMESH:** It's not a
9 question, respectable to the Chair. Is there any time
10 limit for presenters? Because I see there are 11
11 presenters. No offense. If everyone takes 30 minutes
12 or 35 minutes, we will not be leaving the hall before
13 8:00.

14 **DR. JAMES MCMANAMAN:** Oh. Well, I
15 think that we've got that under control. Yeah. We've
16 got a timer up here. And if they exceed their time, a
17 clown will come in a hook and they're off.

18 Questions? Dr. Portier.

19 **DR. KENNETH PORTIER:** So I was just
20 wondering, has someone published on what's a good test
21 for oxidative stress? I mean is there a solid science
22 on establishing that?

23 **DR. JAMES BUS:** There are a series
24 because oxidative stress has been around for a long

1 time. It's been a focus of the research in the mode
2 of action science community for decades. And actually
3 now, as I've mentioned, the conclusion -- and you'll
4 find this in several very recent review articles, most
5 of them coming out of the team of Barry Halliwell,
6 who's one of the pioneers in oxidative stress
7 research.

8 They all emphasize that when you do
9 oxidative stress research, if you really want to get
10 meaningful science, you have to look at multiple
11 biomarkers for oxidative stress. Relying, for
12 instance, just on a single formation of DNA adducts,
13 for instance, is not enough. You really need to
14 couple it with other types of elements. And those
15 assays are available. The methods are there, but they
16 have to be applied in an appropriate mode of action
17 framework analysis.

18 **DR. JAMES MCMANAMAN:** Other questions?

19 Yes, Dr. Zhang.

20 **DR. LUOPING ZHANG:** Luoping Zhang. I
21 have a question for Dr. Levine. I think you present a
22 very interesting study from Richard 2005.

23 **DR. JAMES MCMANAMAN:** Dr. Zhang, put
24 your microphone a little closer.

1 **DR. LUOPING ZHANG:** Just trying to just
2 confirm. Are you sure that actually the Roundup does
3 inhibit aromatase activity, right? That's what your
4 data was showing. But you are saying that's because
5 of the cytotoxicity. I just want to confirm. Is that
6 what you really mean from that figure you showed?

7 **DR. STEVEN LEVINE:** Yeah. Aromatase
8 activity is extremely --

9 **DR. LUOPING ZHANG:** Sensitive, yeah.

10 **DR. STEVEN LEVINE:** -- sensitive to
11 detergents. In fact, in EPA's protocol they do state
12 that. But the inhibition of aromatase activity was
13 co-occurring with cytotoxicity. Aromatase, that's a
14 P450 enzyme that's associated with a smooth
15 endoplasmic reticulum. And it's associated with
16 reductases.

17 From people who have done purification
18 of proteins know that detergents are used. You could
19 certainly affect the relationship between the
20 reductases and the enzyme affecting its ability to
21 pass reducing equivalents to catalyze the
22 biotransformation. It is certainly a membrane effect,
23 I believe, rather than a direct effect on the enzyme
24 itself. Because it doesn't --

1 **DR. LUOPING ZHANG:** But at the least,
2 you'll see it. At the least, the curve, you see it,
3 right? You see the inhibition.

4 **DR. STEVEN LEVINE:** Yeah.

5 **DR. LUOPING ZHANG:** That's okay, right?

6 **DR. STEVEN LEVINE:** You do see
7 inhibition, but it is a very steep curve. Surfactants
8 produce their effects at threshold concentration. You
9 see very rapid effects once you reach a critical
10 threshold concentration. The slope becomes very
11 steep. And if you actually look at the slope in that
12 paper versus what would be classic aromatase
13 inhibitor, it's a very different slope. And
14 oftentimes, slopes in mode of actions are associated
15 with one another.

16 **DR. LUOPING ZHANG:** Yeah. That raise
17 my next question is because the slope looks quite
18 different from the cytotoxicity. It's different,
19 right?

20 **DR. STEVEN LEVINE:** Yes. They are
21 measuring different endpoints. That cytotoxicity is
22 actually looking at cell membrane disruption versus an
23 enzyme effect.

1 DR. LUOPING ZHANG: Okay. I just want
2 to confirm that that's what you're presenting.

3 DR. JAMES MCMANAMAN: Other questions?
4 (Whereupon there was no response)

5 DR. JAMES MCMANAMAN: All right. Thank
6 you very much. Dr. Levine, you're excused then.

7 DR. JAMES BUS: I guess I get to stay
8 here.

9 DR. JAMES MCMANAMAN: Thanks for your
10 presentation.

11 DR. JAMES BUS: Thank you. I'm going
12 to spend just a very few moments discussing the issue
13 of does glyphosate bioaccumulation in human breastmilk
14 and do an examination of the plausibility of that
15 potential.

16 Obviously, that becomes important to
17 the toxicology risk assessment community because,
18 obviously, it addresses the potentially important
19 health question of whether a potentially sensitive
20 subpopulation, such as nursing infants which focus
21 their entire diet, for instance, by way of example on
22 breastmilk. Does that place them at a differential
23 sensitivity to glyphosate toxicity based upon that
24 type of dietary intake?

1 Turning to the key question, though, is
2 why is there a concern at all about the potential for
3 glyphosate in breastmilk? That concern largely arises
4 through an internet non-peer-reviewed report that was
5 published by an organization called Moms Across
6 America. And they reported the detection of
7 glyphosate in human breastmilk in 3 of 10 women that
8 they had biomonitoring across the country.

9 They reported concentrations of 166,
10 76, and 99 ug/L in those three women, which ranged
11 across the country from Florida to Virginia to Oregon.
12 And as a result of those concentrations in breastmilk,
13 which would, indeed, be relatively high, it provided
14 evidence of bioaccumulation in breastmilk. And of
15 course, if that hypothesis is true, that would,
16 indeed, present a unique exposure route to these
17 sensitive sub-populations of nursing children.

18 They also did a corresponding
19 glyphosate biomonitoring study, of a number of women,
20 where they sampled their urine. And 13 of the 35
21 urine samples, in fact, were evaluated and they
22 returned an average value of 18.8 ug/L. And I'll come
23 back to that in just a few moments and what that
24 potentially means.

1 The critical question, then, is does
2 this biomonitoring data pose the potential for a human
3 health threat to nursing children from glyphosate
4 concentrations that might result from an environmental
5 exposure? And we're going to take a look at, just for
6 a very few moments here, what is the biologic
7 plausibility of that potential exposure.

8 First of all, it's important to note
9 that when that report was released there were a number
10 of methodologic concerns that were immediately
11 expressed relative to the issuance of that report.
12 Dr. Ron Kleinman, who is the Physician-in-Chief at the
13 Massachusetts General Hospital for Children working on
14 behalf of the Genetic Literacy Project in 2014 noted
15 that the milk assays used were an ELISA assay which
16 had not yet been validated for breastmilk. They were
17 only validated for water samples. And of course,
18 breastmilk is a substantially more complex environment
19 than water.

20 The report was also silent on the
21 method in terms of how the validation might have been
22 attributed to milk. There was no information whether
23 such efforts had been made. And then also, there were

1 limited details on participant selection, sample
2 collection, storage, and chain of custody protocols.

3 The EPA, in response to the MAA report,
4 actually sent a letter to the MAA noting those very
5 same concern and others. And that included that the
6 ELISA method that was used was, at best, regarded as
7 only a semi-quantitative screening assay that, in
8 fact, validated LC MS/MS methods were available for
9 actually measuring glyphosate in milk.

10 And more importantly, because the EPA
11 had access to toxicokinetic studies submitted by
12 registrants, they had already had information that
13 studies in lactating animals indicate that glyphosate
14 is excreted primarily in the urine and feces but not
15 through breastmilk. Myself, as a result of being
16 commissioned by the Glyphosate Task Force in 2015, in
17 an open access publication in the peer-review journal
18 Regulatory Toxicology and Pharmacology, I offered a
19 critique focusing on some additional details relative
20 to this MAA report.

21 And it really addressed the primary
22 question of is the bioaccumulation of glyphosate in
23 human breastmilk as reported in that report, in fact,
24 is highly implausible when that information is

1 considered in context of four major issues. The
2 animal toxicokinetics data, the MAA milk
3 concentrations are also biologically implausible when
4 compared to the actual human doses that are well
5 demonstrated for human biomonitoring studies.

6 Likewise, those human biomonitoring
7 studies indicate that the actual exposures to
8 glyphosate could not give doses sufficient to produce
9 those breastmilk concentrations. And lastly, the
10 potential for bioaccumulation in breastmilk is
11 opposing to the fundamental physicochemical properties
12 of glyphosate, which would not make it appear to be a
13 bioaccumulative compound.

14 I'm going to address each one of those
15 briefly in the next few slides. Relative to the
16 animal toxicology data, you've already heard in terms
17 of the toxicokinetic information, glyphosate has only
18 a limited absorption by the oral route and even less
19 so by dermal. That those toxicokinetic data indicate
20 that both in humans and in animals that glyphosate,
21 when it is systematically absorbed, is rapidly
22 excreted into the urine and feces as the parent
23 compound.

1 And given the structure of glyphosate,
2 it's not surprising that its distribution is almost
3 entirely to water-rich compartments with rapid
4 clearance once you terminate the exposure. There are
5 also, again, in studies that had submitted by
6 registrants to the agency evaluating the distribution
7 of glyphosate measured as 14C-glyphosate in lactating
8 goats given 5 mg/kg/day for multiple days, usually in
9 the range of six to eight days.

10 In those dose experiments,
11 toxicokinetic studies basically indicate that less
12 than 0.01 percent of the administered dose was
13 actually recovered in the milk because they were
14 actually collecting the milk as well as the urine and
15 feces in these studies. But likewise in that same
16 study, the peak concentrations in milk were about 80
17 ug/L.

18 And you could see the same
19 concentrations in blood were even higher at a 101
20 ug/L. This would not be the typical characteristic of
21 a compound that has preferential distribution to milk.
22 You would expect the milk to have substantially higher
23 concentrations of glyphosate if, in fact, it had a
24 preferential distribution to milk tissue.

1 But likewise, again, even after six to
2 eight days of treatment of lactating goats, once that
3 treatment was terminated there was rapid clearance
4 from the milk once post-dosing was ended. The ADME
5 data in lactating animals and in humans clearly are
6 inconsistent with glyphosate being a bioaccumulative
7 compound into milk.

8 Turning to how do these concentrations
9 reported in the biomonitoring by the MAA report, how
10 do they compare to actual real-world exposures? Well,
11 as we've emphasized before, the maximum glyphosate
12 external and systemic doses, as measured from human
13 biomonitoring, in fact, are very low. They're
14 generally, maximal in the range of about 4 ug/kg/day.
15 But on average, very much lower even than that maximum
16 value.

17 The maximum urine concentration that
18 was identified in the MAA studies was 18.8 ug/L. And
19 if you convert that to a daily intake dose, it would
20 translate to a dose of about 3.3 ug/kg/day and about
21 0.66 ug/kg/day of an actual systemic dose. The value
22 that they actually detected, in one of their women, as
23 a maximum systemically absorbed dose, is really not
24 out of line with existing biomonitoring studies that

1 have been conducted elsewhere around the United
2 States.

3 But more importantly, you also will
4 note in the MAA breastmilk concentrations actually
5 were relatively close to the goat milk concentrations
6 that were done in the toxicokinetic studies. But
7 those goats, remember, were actually given 5 to 8
8 mg/kg/day. That's a dose that's estimated to be at
9 least 2,000 times higher than what women might
10 receive, and particularly, pregnant women.

11 There's been an analysis of women and
12 what their dietary patterns are. And basically, you
13 can estimate that their exposure would be no more than
14 about 1 ug/kg/day. So again, it's a substantially
15 lower dose. Biomonitoring studies clearly indicate
16 that the MAA report in detection of milk
17 concentrations are implausibly high.

18 The biomonitoring studies also confirm
19 that even if those values were real, they're unlikely
20 to present a health problem for nursing infants. As
21 you can see at the very end bullet, and I think that's
22 the key point to be made on this slide, that if you
23 take the milk concentrations as reported in the MAA
24 study, it would still translate to a very low dose

1 exposure to those nursing infants. Again, it's not
2 likely to present a health problem.

3 Turning to the next slide then, what
4 about the physicochemical characteristics? Are they
5 at all indicative that glyphosate might have that
6 potential to bioaccumulate in breastmilk? Well,
7 glyphosate, of course, is an organic acid so it has a
8 pKa of 2.3, inferring that it essentially has complete
9 ionization at physiological pH. The octanol-water
10 partition coefficient actually of glyphosate, not
11 surprisingly then, is very low at pHs 5 to 9.

12 And you can compare that to the log or
13 water partition coefficient for a typical agent which
14 is known to bioaccumulate, a PCB, and you can see a
15 dramatic difference in those values. When you pull
16 that information together, coupled with the
17 observation that, obviously, the toxicokinetic studies
18 indicate very limited distribution to milk, that is
19 entirely consistent with the physicochemical
20 properties of glyphosate that would not suggest that
21 it's going to have to have that potential to
22 distribute to milk in any substantial quantities and
23 certainly not bioaccumulate in milk.

1 By way of conclusion then, the MAA
2 study certainly should be regarded as a preliminary
3 study containing substantive methodologic
4 deficiencies. The MAA report of high concentrations
5 of glyphosate in human breastmilk is implausibly high
6 when it's considered in the context of animal
7 toxicokinetic studies and the physicochemical
8 properties of glyphosate and what we know about the
9 toxicokinetics of glyphosate in animals. There's no
10 plausible means by which you could achieve the
11 concentrations of glyphosate reported in the
12 breastmilk in that particular study.

13 Therefore, the conclusion then is the
14 breastmilk certainly is not regarded as a significant
15 source of glyphosate exposure in nursing infants.

16 Thank you.

17 **DR. JAMES MCMANAMAN:** Any questions for
18 Dr. Bus?

19 (Whereupon there was no response)

20 **DR. JAMES MCMANAMAN:** Just have a quick
21 question, this is Jim McManaman. If the concentration
22 found in human breastmilk was equivalent, one sample,
23 I guess it was, was equivalent to what was found in

1 goats dosed with 1,000 times higher. How do you
2 explain that?

3 **DR. JAMES BUS:** Well, and that's, in
4 fact, the artefact that I'm pointing to. The very
5 fact that those --

6 **DR. JAMES MCMANAMAN:** You're saying
7 it's not actually glyphosate?

8 **DR. JAMES BUS:** Well, yeah. It must be
9 an artefact of the analytical measurement.

10 **DR. JAMES MCMANAMAN:** Okay.

11 **DR. JAMES BUS:** Because if it were
12 true, you would have to expect that the humans would
13 have had to have been exposed to massively larger
14 glyphosate doses. Which obviously, we know from --

15 **DR. JAMES MCMANAMAN:** Or there's an
16 alternative mechanism, I suppose.

17 **DR. JAMES BUS:** -- human biology is not
18 the case.

19 **DR. JAMES MCMANAMAN:** Okay.

20 **DR. JAMES BUS:** So, yes. That's the
21 point I was making.

22 **DR. JAMES MCMANAMAN:** Okay. I think
23 we'll take a break now. This is the end of these set
24 of presentations. And during the break, if we could

1 get Deborah Hommer, Scott Slaughter, Sabitha Papineni,
2 sorry about that, and Jacob Vukich to the podium, we
3 will begin the next session.

4 (Whereas a break was taken)

5 **DR. JAMES MCMANAMAN:** Okay. The
6 building management heard this was a hot topic, so
7 they decided to drop the temperature in the room. But
8 we hope we're getting it fixed.

9 Okay. The next presenter is Deborah
10 Hommer from Virginians for Medical Freedom.

11 Ms. Hommer. Push the button. There
12 you go.

13 **MS. DEBORAH HOMMER:** All right. Good
14 afternoon. My name is Deborah Hommer. I am living
15 proof of the detrimental effects of herbicides being
16 sprayed on our food. Three plus years ago, I was
17 diagnosed with Hashimoto's thyroid disease. Within
18 three weeks of going organic and gluten free, I had
19 lost three inches around my waist, lost the foggy
20 brain, was sleeping through the night, and I was
21 sleeping hard, something I hadn't done in 10 years.

22 Presently, any time I eat gluten that
23 not's organic I have the same issues. I am currently
24 president of Virginians for Medical Freedom. I am

1 here in alliance with Moms Across America. And we are
2 requesting your partnership in reversing the rising
3 trend of autism. There is scientific evidence which
4 shows that glyphosate is likely a major contributing
5 factor to autism in many ways. We will review seven
6 points regarding the connection between glyphosate and
7 autism which we insist that you take into
8 consideration in the assessment of glyphosate.

9 Glyphosate has been detected by
10 multiple labs in multiple batches of tests to be
11 present in the majority of childhood vaccines and in
12 the flu shot. The MMR vaccine has levels of
13 glyphosate 25 to 35 times higher than the other
14 vaccines.

15 And let me just add here I did read
16 Monsanto's response to using the ELISA method. But my
17 rebuttal to them is that the FDA uses the ELISA method
18 to evaluate vaccine effectiveness for both humans and
19 for veterinarians. If the FDA uses it then our
20 studies are good.

21 Okay. This is very significant because
22 the MMR vaccine is the one reported by CDC Lead
23 Scientist William Thompson that says: "To cause
24 autism across the full study of children in the higher

1 levels in African-American boys. Glyphosate has never
2 been tested or approved for injection directly into an
3 infant's muscle tissue, which affects the bloodstream
4 and has direct access to the blood-brain barrier."

5 No one can legally or scientifically
6 say that injecting glyphosate into an infant is safe.
7 Glyphosate is likely present because of the high
8 residue levels allowed by the EPA. The EPA allows
9 glyphosate residue levels up to 400 parts per million
10 on GMO grains and grains sprayed with glyphosate as a
11 desiccant fed to livestock. The livestock tendons in
12 bone marrow are then used for gelatin and serums used
13 in vaccines.

14 Monika Kruger's work has shown that
15 glyphosate accumulates in these animal parts. Tests
16 have shown high levels of glyphosate in gelatin, which
17 vaccines are grown on. Other studies have also shown
18 high glyphosate levels on soy and in eggs, which are
19 also used in vaccines.

20 Glyphosate increases the impact of
21 other toxins present. The presence of glyphosate in
22 vaccines could very well explain why vaccine damage
23 did not spike in 1921 when mercury was first put in
24 vaccines. It was not until the late 1900s when there

1 was a huge spike in vaccine damage, autism. Exactly
2 when GMOs allowed glyphosate-sprayed grains to enter
3 our food, livestock feed, and apparently, our vaccine
4 supply.

5 Scientists and we believe that
6 glyphosate is working in conjunction with the other
7 toxins in vaccines and food and has caused severe harm
8 to an entire generation of our children. In the year
9 1975, when Roundup was brought to market, 1 in 5,000
10 children were on the autism spectrum, in 1985, 1 in
11 2,500; in 1995, 1 in 500; 2001, 1 in 250; 2004, 1 in
12 166; 2007 1 in 150; 2009, 1 in 110; 2012, 1 in 88.
13 Today, 1 in 45 children are on the autism spectrum.

14 If the current rates of diagnosis
15 continue as they are, by 2025 one in two children born
16 will be on the autistic spectrum. 50 percent of our
17 children will be compromised just 16 years from now.
18 That's your grandchildren. With 50 percent of the
19 entire generation on the autism spectrum, what will
20 our society and education system look like, our
21 healthcare budget, our military? The fact is we'll
22 lose status as a world power if we do not protect our
23 children.

1 Because glyphosate is never used alone,
2 the presence of glyphosate means that the co-
3 formulants are very likely present in vaccines, as
4 well, which have been found to be 1,000 times more
5 toxic than glyphosate alone. Any assumption that the
6 presence of glyphosate in vaccines is acceptable is
7 not taking into account the toxicity of the co-
8 formulants which always accompany glyphosate.

9 Glyphosate has been described as
10 scientists to impact the neurological system in three
11 ways. It can break down the blood-brain barrier and
12 allow toxins into the brain. It can destroy the
13 beneficial gut bacteria and promote the proliferation
14 of the pathogenic gut bacteria. The pathogenic gut
15 bacteria have on the outer walls lipopolysaccharides
16 which signal the vagus nerve to tell the brain to go
17 on attack.

18 The stimuli microglia cells in the
19 brain go on attack and make glutamate, an excitotoxin,
20 which excites and eventually can exhaust the brain
21 neurons causing them to die. The glyphosate presents
22 calcium, which goes in and out of the brain cells,
23 from exiting. When the calcium does not exit, the
24 neuron dies. Anyone with reason can explain why a

1 child suddenly develops a tic, stammer, or does not
2 make eye contact that part of their brain neurons have
3 been damaged.

4 The chemical study released July 2016
5 finds IQ in children born to mothers who, during the
6 pregnancy, were living in close proximity to chemical-
7 intensive agricultural lands where organophosphate
8 pesticides were used. We assert that all American
9 children are being impacted by pesticides and
10 herbicides insidiously solely through our food, water,
11 and vaccines. And we are causing a dumbing of America
12 and a more violent American through the chemical
13 farming process. Glyphosate --

14 **DR. JAMES MCMANAMAN:** Ms. Hommer, you
15 had five minutes, and you're way over time now. Are
16 you about to wrap up?

17 **MS. DEBORAH HOMMER:** Yes. Yes. I'll
18 go to my last one. Okay. Pederson from Denmark's pig
19 studies showed a repeated and dramatic increase in
20 miscarriage, birth defects, small litters, and
21 infertility when his 30,000 pigs ate grains sprayed
22 with glyphosate. Allowing pregnant women being
23 injected with the flu shot or vaccine while growing a
24 fetus is a recipe for disaster. Glyphosate has been

1 shown to remain viable in dark, salty water for 315
2 days. Our wombs contain dark, salty water.

3 These children that do survive have the
4 highest rates of autism, allergies, asthma, autoimmune
5 diseases, diabetes, and obesity in the world. One out
6 of two American children are sick, and we are here
7 discussing whether or not it is okay to spray a
8 chemical on our food which destroys the immune system,
9 stimulates cancer cell growth, is a neurotoxin, causes
10 antibiotic resistance, and liver and kidney damage.
11 The spraying of toxic chemicals must stop. You must
12 have the courage to say enough, no more.

13 **DR. JAMES MCMANAMAN:** Thank you.

14 **MS. DEBORAH HOMMER:** We thank you for
15 listening and having the courage to do the right
16 thing, to rightfully and justifiably find glyphosate a
17 carcinogen as the EPA documentation has shown since
18 1983.

19 **DR. JAMES MCMANAMAN:** Thank you very
20 much.

21 Questions?

22 (Whereupon there was no response)

23 **DR. JAMES MCMANAMAN:** All right. Thank
24 you very much.

1 Next up, Scott Slaughter.

2 **MR. SCOTT SLAUGHTER:** Hello. I am
3 Scott Slaughter. And I am commenting today on behalf
4 of the Center for Regulatory Effectiveness. CRE's
5 comments focus on the federal government quality
6 standards that apply at the EPA's cancer assessment.
7 These quality standards also apply to this SAP review
8 of that assessment.

9 In summary, EPA's glyphosate cancer
10 assessment cannot use or rely on any SAP report or on
11 any other report study, assessment, review, or any
12 other information that does not meet these mandatory
13 federal government quality standards. For example,
14 the IARC glyphosate review is subject to these quality
15 standards. And it does not meet them. Consequently,
16 EPA cannot use or rely on the IARC review.

17 The overwhelming weight of evidence is
18 that glyphosate does not cause cancer in humans. Any
19 contrary EPA conclusion would be inaccurate and
20 misleading and violate mandatory government quality
21 standards.

22 I'll now try to discuss these points in
23 more detail. This SAP is a peer review panel subject
24 to federal government quality standards, including the

1 Information Quality Act, or IQA. The IQA is a federal
2 statute that imposes quality standards on all
3 information disseminated by EPA and by most federal
4 agencies. Information and dissemination are broadly
5 defined. EPA and other agencies cannot use or rely on
6 information that does not meet these mandatory
7 standards which are designed to help ensure that the
8 government acts on sound science.

9 Pursuant to its authority under the
10 IQA, the U.S. Office of Management and Budget, or OMB,
11 published an information quality bulletin for peer
12 review. This SAP is a peer review panel subject to
13 the OMB Peer Review Bulletin's requirements. CRE's
14 written comments, which I hope you have by now,
15 explain why the EPA cancer assessment and this SAP's
16 peer review of it are subject to the most rigorous
17 quality standards under the OMB Peer Review. In the
18 interest of brevity, I will not repeat that
19 examination in my oral comments.

20 I do, however, emphasize that the OMB
21 Peer Review Bulletin requires that EPA inform the SAP
22 reviewers, that's you, and I quote: "Of applicable
23 access, objectivity, reproducibility, and other
24 quality standards under Federal Information Quality

1 Laws." Based on what I've heard and read, EPA has not
2 completely informed this SAP of these quality
3 standards. Consequently, I've tried to fill in some
4 of the gaps.

5 In general, OMB and the EPA IQA
6 Guidelines require that EPA ensure, and I quote: "The
7 objectivity, utility, and integrity," close quote of
8 all information that EPA disseminates, uses, or relies
9 on. The OMB and EPA IQA Guidelines explain, I quote
10 again: "Objectivity focuses on whether disseminated
11 information is being presented in an accurate, clear,
12 complete, and unbiased manner. And as a matter of
13 substance is accurate, reliable, and unbiased," closed
14 quote.

15 Influential information like EPA's
16 cancer assessment is subject to especially rigorous
17 standards of transparency and reproducibility. EPA's
18 IQA Guidelines explain that, and I quote again: "A
19 will facilitate the reproducibility of such
20 information by qualified third parties to an
21 acceptable degree of imprecision. For disseminated
22 influential, original, and supporting data, EPA
23 intends to ensure" -- I want to emphasize that. EPA
24 intends to ensure "reproducibility according to

1 commonly accepted scientific, financial, or
2 statistical standards."

3 These IQA Quality Standards apply to
4 all sources of information that EPA is considering for
5 a possible use in a risk assessment like EPA's cancer
6 assessment. This applicability includes information
7 quote: "That EPA obtained for use in developing a
8 policy, regulatory, or other decision," close quote.
9 Like SAP reports or the IARC glyphosate review. This
10 means that both the SAP report and the IARC glyphosate
11 review must meet IQA standards before EPA can use or
12 rely on them in the cancer assessment, which itself
13 must meet these quality standards.

14 Therefore, if this SAP discusses the
15 IARC review in the SAP's peer review report, then the
16 SAP must determine whether the IARC meets IQA
17 standards. I'll try to explain why the review does
18 not meet IQA standards. First, however, to prove that
19 I'm not making all of this up, I'd like to provide
20 some examples of federal agencies rejecting similar
21 studies or reports because they do not meet IQA
22 standards.

23 As a first example, EPA was preparing
24 an ecological risk assessment for the herbicide

1 atrazine. The available external non-EPA studies
2 disagreed as to whether atrazine causes adverse
3 endocrine effects in amphibians. CRE submitted a
4 request for correction under the IQA which provides a
5 statutory right to seek and obtain correction of any
6 information maintained and disseminated by the Agency
7 that does not comply with IQA standards.

8 CRE's atrazine RFC, request for
9 correction, claimed that none of the available
10 amphibian effect studies could be used for the
11 atrazine risk assessment because none of the studies
12 used test methods that have been demonstrated to be
13 accurate and reliable. EPA agreed with CRE, did not
14 use any of the studies, and supervised development of
15 properly validated studies which were -- and other
16 SAPs helped the EPA formulate the procedures for
17 developing these accurate and reliable amphibian
18 effects tests which were subsequently used by EPA.

19 As a second example, CRE argued to the
20 National Oceanic and Atmospheric Administration that
21 reports by the International Welding Commission
22 Scientific Committee had to meet IQA standards. If
23 the reports do not meet these standards, then NOAA

1 can't use them to regulate various industries under
2 the Marine Mammal Protection Act.

3 NOAA wrote back agreeing with CRE.
4 NOAA's letter stated and I quote: "Prior to releasing
5 or relying on third-party information, such as IWC
6 Scientific Committee reports, NOAA's National Marine
7 Fishery Service must conduct a pre-dissemination
8 review to determine that it is a known quality and
9 consistent with NOAA's IQA guidelines."

10 As a third example, the U.S. Department
11 of Health and Human Services informed the World Health
12 Organization that HHS could not use a WHO report
13 entitled, quote, "Diet and Nutrition in the Prevention
14 of Chronic Disease," close quote, because the report
15 does not meet IQA requirements. And your written
16 materials contain links where you can find all these
17 documents I've talked about online.

18 Now closer to home, the IARC glyphosate
19 review is information that doesn't meet IQA standards.
20 Therefore, it cannot be used or relied on by EPA. In
21 reaching this conclusion, we relied on several
22 documents that are identified with links in CRE
23 written comments. I won't repeat this long list of
24 documents in my oral comments. I do, however, suggest

1 that the panel pay particular attention to the public
2 comments that have made during the last two days. And
3 to the following documents which draw on EPA's record
4 for this SAP.

5 The documents in the record are one,
6 comments by CropLife America on EPA's glyphosate
7 cancer evaluation; two, Monsanto's critique of the
8 IARC review; three, comments submitted by Intertek
9 Scientific & Regulatory Consultancy; four, comments
10 submitted by Dow AgroSciences; and five, comments
11 submitted by Joseph K. Hasemen, J.K. Haseman
12 Consulting.

13 Based on these documents and based on
14 other documents in this SAP record, and based on the
15 extensive and quite excellent public comment that's
16 been made over the last two days, the IARC glyphosate
17 review is not accurate. It is not reliable. And it
18 does not meet IQA standards.

19 And it cannot be used by EPA because,
20 for example, one, IARC relied on study results that
21 are not statistically significant; two, IARC relied on
22 studies where there was no dose-response curve; three,
23 IARC relied on studies where there was no consistent
24 association between glyphosate and cancer; fourth,

1 there's no mode of action for glyphosate and cancer
2 that's been demonstrated; five, IARC relied on studies
3 that used non-standardized and invalidated test
4 methods and procedures; six, IARC was bias in its
5 exclusion of tests; seven, IARC used tests that are
6 nor reproducible; and eight, IARC's conclusions are
7 not biologically plausible.

8 Many other expert panels have reviewed
9 glyphosate and cancer. None of them have concluded
10 that glyphosate causes cancer. There is no reason to
11 believe they're all wrong and that IARC is right. The
12 overwhelming weight of evidence that's been presented
13 to this SAP is that glyphosate does not cause cancer.
14 Any different conclusion would be incorrect,
15 inaccurate, and misleading. And it would be
16 inconsistent with the government's quality standards.

17 In other words, EPA got it right this
18 time. CRE appreciate this opportunity to comment. I
19 also emphasize CRE's great respect for science
20 advisory panels. We believe they are essential to
21 ensuring that EPA's pesticides assessment and
22 regulation are based on fact and science and not bias
23 and political ideology. Thank you. And I'll try to
24 answer any questions you might have.

1 DR. JAMES MCMANAMAN: Thank you, Mr.
2 Slaughter.

3 Questions?

4 (Whereupon, there was no response)

5 DR. JAMES MCMANAMAN: All right. Thank
6 you very much.

7 All right. Thank you very much.

8 I think we'll move on to the next
9 presentation, Dr. Papineni. Am I anywhere close?

10 DR. SABITHA PAPINENI: I think,
11 actually, for the first time you got it right.

12 DR. JAMES MCMANAMAN: Good. All right.
13 I'm making progress.

14 DR. SABITHA PAPINENI: I was really
15 happy to hear that.

16 Good afternoon, everyone. My name is
17 Sabitha Papineni. And I am the regulatory
18 toxicologist at Dow AgroSciences in the Human Health
19 Assessment Group. And I really want to thank EPA and
20 the panel for this opportunity to provide comments
21 today.

22 And I'm here today to focus on our
23 comment on the charge question five which talks about
24 the EPA's evaluation process in the carcinogenicity

1 potential evaluation, particularly referring to the
2 completeness, transparency, and scientific quality of
3 the process.

4 And Dow AgroSciences supports and is in
5 agreement with the EPA's evaluation and interpretation
6 of the data. We believe EPA has conducted a robust,
7 science-based assessment in a highly transparent
8 manner in reaching the determination of the descriptor
9 "not likely to be carcinogenic to humans" for
10 glyphosate.

11 A brief background, again, we've heard
12 it several times in the presentations. Glyphosate was
13 first registered in 1974 in many countries, including
14 U.S.A. And Dow AgroSciences is a technical registrant
15 for glyphosate for more than 15 years in U.S. And the
16 table I have here shows that over these years, there
17 have been several evaluations and reevaluations by
18 regulatory agencies across the globe. And
19 consistently, they have come to the same conclusion
20 that glyphosate is not carcinogenic to humans.

21 Except in 2015, the International
22 Agency for the Research on Cancer categorized, for the
23 first time, glyphosate as a Category 2A probable human
24 carcinogen. However, if you look at the recent

1 evaluations of the subsequent reviews by other
2 regulatory agencies, again, consistently these six
3 regulatory agencies that I have listed in this table
4 also concluded that it is not carcinogenic to humans.
5 Again, consistency is one of the criteria for the
6 Bradford Hill criteria.

7 EPA in its current evaluation for the
8 carcinogen potential have relied on the 2005 EPA
9 Guidelines for Carcinogenic Risk Assessment. And
10 again, these are the improved guidelines which include
11 the mode of action and also the human relevance
12 framework, and sort of, again, using the defaults.
13 Also, these are the improved methods over the 1996
14 interim guideline for carcinogen risk assessment. And
15 again, based on these guidelines, there are five
16 weight-of-evidence descriptors chosen.

17 More importantly, again, in order to
18 establish the causal relationship between a cause and
19 effect, EPA has relied on the modified Bradford Hill
20 criteria which is a widely accepted guidance in order
21 to establish the relationship. And this relies on the
22 criteria, again, evaluating the multiple lines of
23 evidence for strength, consistency, dose-response,

1 temporal concordance, and last, but not the least, the
2 biological plausibility.

3 And we've heard the database of the
4 glyphosate again. You know, there are multiple lines
5 of evidence in, again, including the animal findings,
6 metabolism studies, structural relationships with
7 other carcinogens, mode of carcinogenic action
8 information, and also the human data. And EPA has
9 reviewed all these lines of evidence in their
10 evaluation.

11 Coming to glyphosate, again, we've
12 heard it several times. And it's an extensive
13 database available to assess the carcinogenic
14 potential. Again, EPA has used the 2010 framework for
15 incorporating the human Epi data into the human risk
16 assessment. And again, the framework that emphasizes
17 on starting with the problem formulation.

18 Again, this is consistent with the
19 WHO's updated chemical safety mode of action/human
20 relevance framework. Again, asking to integrate the
21 information at different levels of biological
22 organization and again using the modified Bradford
23 Hill criteria, which is the widely-accepted criteria.

1 Looking into the different lines of
2 evidence, again, just a summary of genotoxicity
3 potential here. To begin with, glyphosate does not
4 have any structural alerts for any genotoxic
5 potential. And there are nearly 90 genotoxicity
6 studies. And I have it highlighted there because if
7 you compare, again, going back to the slides presented
8 by Dr. Niemann yesterday, typically for administration
9 of a pesticide it would require -- this is way more
10 than what is typically required for registration of a
11 pesticide.

12 And these were extensively
13 investigated, including the relevance and reliability
14 of different endpoints. And if you look at the entire
15 database, there is no convincing evidence that
16 glyphosate induces mutations in the high weighted in
17 vitro assays and also in vivo mammalian systems.

18 And the only positive findings, again,
19 reported in the in vivo were seen at relatively high
20 dose levels which are not relevant for human health
21 risk assessment. And this is again, consistent with
22 all the reviews from other regulatory agencies across
23 the globe, including the WHO's JMPR.

1 Looking at the animal data, there are,
2 again, 15 animal carcinogenicity studies which is,
3 again, way more than what is typically required for
4 registration of a pesticide across the globe with any
5 regulatory agency. Again, the weight-of-evidence
6 analysis from all the studies again concludes that
7 it's not a carcinogen. And again, incidences that
8 were observed either all the issues listed out there,
9 the reason why it was determined that they're not
10 treatment related.

11 Moving on to the epi data, again, there
12 are 23 epi studies that were extensively investigated
13 in the EPA's paper. Again, there was a confusion
14 about 24 versus 23 yesterday that was brought. I
15 think there were 24 epi studies that have undergone
16 the detailed evaluation. But I think it was a Cocco
17 2013 paper that was considered for the evaluation
18 because that was not considered informative because of
19 the limitations that the study suffered from.

20 Again, there was no evidence of an
21 association between glyphosate exposure and solid
22 tumors. We did not find any association between the
23 glyphosate exposure and leukemia or even Hodgkin's
24 lymphoma. For the associations claimed for non-

1 Hodgkin lymphoma, again, chance and recall bias cannot
2 be excluded. And the results contradicted with the
3 higher quality Ag Health Study. Therefore, an
4 association cannot be established based on the
5 available data.

6 I think overall with a thorough
7 integrative weight evaluation of all the data
8 available, again, with no genotoxicity potential and
9 no evidence from the animal data and no evidence from
10 the epi data, I think the descriptor "not likely to be
11 carcinogenic to humans" is strongly supported for
12 glyphosate.

13 Concluding remarks, again, an extensive
14 database, as I said -- again. It's a lot of studies
15 when compared to what is required for a typical
16 registration of a pesticide -- exists for evaluating
17 the carcinogenic potential of glyphosate and the
18 weight-of-evidence analysis conducted according to the
19 2004 EPA guidelines. Clearly, it has a strong support
20 for the descriptor "not likely to be carcinogenic to
21 humans" for glyphosate.

22 With that, I thank the panel for their
23 attention. Thank you.

24 **DR. JAMES MCMANAMAN:** Thank you.

1 Questions?

2 (Whereupon there was no response)

3 **DR. JAMES MCMANAMAN:** Okay. Once
4 you've finished your presentation, you don't have to
5 sit up here. You can if you want to. But if you'd
6 like to sit somewhere else, I believe that's fine.
7 All right.

8 All right, Dr. Vukich.

9 **DR. JACOB VUKICH:** Yes.

10 **DR. JAMES MCMANAMAN:** You're up next.

11 **DR. JACOB VUKICH:** Thank you. Good
12 afternoon, SAP panel members, EPA officials, and
13 guests. My name is Jake Vukich. And I am the manager
14 of U.S. Registration and Regulatory Affairs for DuPont
15 Crop Protection. Thank you for providing time to me
16 today so that I can present some brief comments on
17 behalf of DuPont.

18 DuPont is a science company. The
19 DuPont agriculture segment, which consists of DuPont
20 Crop Protection and DuPont Pioneer, is an industry
21 leader dedicated to using global science to deliver
22 local solutions. Meeting the needs of the growing
23 global population, including the need for new tools

1 that can help farmers grow more food per acre is at
2 the very heart of DuPont's business.

3 From Delaware to Iowa and Minnesota to
4 California, U.S. farmers face challenges. They solve
5 these challenges by applying the tools of modern
6 agriculture. These tools include crop protection
7 products, biotechnology derived seeds, and the
8 combined use of both.

9 One of the common tools is glyphosate.
10 We are providing these comments today because
11 glyphosate brings significant benefits to agriculture.
12 It is almost important for DuPont and for all of
13 agriculture to support science-based decision making
14 and risk assessment methodologies that are consistent
15 with the risk benefit mandates of FIFRA.

16 With that background, I want to direct
17 my comments to three areas relevant to this SAP.

18 Number one, our agreement with the EPA's evaluation
19 process and its conclusions; number two, the benefits
20 of glyphosate to agriculture; and number three, the
21 benefits of glyphosate-tolerant cropping systems.

22 Our first area of comment is that
23 DuPont is in general agreement with the process EPA
24 used to evaluate the carcinogenic potential of

1 glyphosate and the conclusion reached by the Agency.
2 On behalf of DuPont, I commend the Agency for their
3 detailed and robust risk assessment. The Agency did a
4 thorough job of evaluating and interpreting available
5 data for each line of evidence, applying risk
6 assessment approaches and not hazard-based approaches,
7 and conducting proper weight-of-evidence analyses to
8 reach its conclusions.

9 We support the overall conclusion by
10 the EPA that glyphosate is not likely to be
11 carcinogenic to humans at doses relevant to human
12 health. Additionally, we note that this assessment,
13 like any outcome of a regulatory action or decision by
14 the Agency, should be consistent with the following
15 regulatory principles. Regulation should protect
16 human health and the environment while promoting
17 innovation.

18 Decisions should be based on best-
19 available scientific data and appropriate technical
20 information. Regulation should be cost effective and
21 commensurate with the risk. Regulation should be
22 adopted through a public and transparent process.
23 Regulation should accommodate new evidence and

1 learnings. And regulation should be consistently
2 applied and enforced.

3 These regulatory principles have been
4 outlined in several executive orders across multiple
5 administrations and are reflected in the current risk
6 assessment and to their current registration review
7 process for glyphosate. Since the initial
8 registration of glyphosate in 1874, numerous human and
9 environmental health analyses have been completed for
10 this herbicide. And all anticipated exposure pathways
11 have been considered. I'd like to provide here some
12 additional comments on this SAP process and in
13 response to the charge questions from EPA.

14 Point number one, an extensive effort
15 has been undertaken by the Agency to collect,
16 evaluate, and integrate the multitude of studies that
17 may inform the human carcinogen potential of
18 glyphosate.

19 The EPA issue paper outlines the
20 structured approach taken by the Agency to collect
21 relevant studies and to outline study quality
22 considerations for the epidemiology, cancer bioassay,
23 and genotoxicity data that form the basis of this
24 assessment. We support EPA's use of the World Health

1 Organization International Program on Chemical Safety
2 mode of action human relevance framework as an
3 underlying principle to integrate these multiple lines
4 of evidence.

5 Point number two, EPA notes that a key
6 component in its evaluation is the use of the modified
7 Bradford Hill criteria, a widely-accepted method in
8 the scientific community for investigating cause and
9 effect relationships and to evaluate strength,
10 consistency, dose-response, temporal concordance, and
11 biological plausibility in a weight-of-evidence
12 analysis.

13 In particular, we wish to highlight our
14 agreement with the risk assessment approach used by
15 EPA. Under FIFRA, EPA must weigh the risk of
16 pesticides to human health and the environment against
17 the benefits of those pesticides via a multistep
18 process called risk-benefit balancing. Further, to
19 approve or reregister a pesticide under FIFRA, the EPA
20 must be able to define how the product may be used
21 without unreasonable adverse effects on the
22 environment or on human health. We are appreciative
23 of the agency's effort with glyphosate, as well as
24 with other products, to uphold this safety standard.

1 Point number three, another aspect of
2 EPA's evaluation of glyphosate that we would like to
3 highlight is related to animal studies and the
4 exclusion of high-dose studies. We support the
5 conclusion that there is an absence of corroborating
6 pre-neoplastic lesions or related non-neoplastic
7 lesions. We further support the agency's conclusion
8 that there is a lack of progression to malignancy to
9 support tumor findings.

10 We also support EPA's exclusion of
11 high-dose studies in this human health risk
12 assessment. As the Agency carefully noted, the high-
13 end estimates of exposure based on the currently
14 registered uses for glyphosate in the United States
15 have been calculated as 0.23, 0.47, and 7 mg/kg/day of
16 body weight for potential dietary, residential, and
17 occupational exposures, respectively. Thus, studies
18 that observe tumors at doses approaching or exceeding
19 1,000 mg/kg/day of glyphosate administration are not
20 relevant for human health risk assessment.

21 Point number four, the carcinogenic
22 potential of glyphosate has been recently reviewed by
23 a number of regulatory and non-governmental bodies
24 around the world. The conclusion by EPA that

1 glyphosate is not likely to be carcinogenic to humans
2 is consistency with the conclusions reached by other
3 regulatory authorities, including the European Food
4 Safety Authority, the Japanese Food Safety Commission,
5 the Australian Pesticides and Veterinary Medicines
6 Authority, the New Zealand EPA, and the Canadian Pest
7 Management Regulatory Agency.

8 In May of this year, the World Health
9 Organization's Joint Meeting on Pesticide Residues
10 also concluded that glyphosate is unlikely to pose
11 risk to humans. The scientific consensus of these
12 reviews overwhelmingly supports the conclusion that
13 this agriculturally important and widely used
14 herbicide does not pose a carcinogenic risk to humans.

15 The second area of comment relative to
16 this SAP that I would like to briefly address is the
17 benefits of agriculture of glyphosate to agriculture.
18 Simply put, glyphosate has become the most important
19 herbicide in global agriculture. For farmers,
20 glyphosate-containing herbicides provide simple,
21 flexible, and cost-effective weed control.

22 Glyphosate herbicides can also control
23 weeds that might otherwise persist for years. These
24 weeds compete with crops for water, light, and

1 nutrients. For perennial grasses and their root
2 systems, glyphosate has an average control rate of 90
3 percent. Unlike several other herbicides which act on
4 either monocotyledons or dicotyledons, glyphosate is
5 effective on both types of weeds thus providing broad-
6 spectrum control.

7 By controlling a broad spectrum of
8 weeds and their entire root systems, glyphosate has
9 eliminated or reduced the need for mechanical plowing
10 of the soil. This is important since cultivated land
11 is prone to soil erosion and minimal soil disturbance
12 practices are sustainable alternatives that help to
13 protect the soil from degradation, encourage greater
14 soil microbial biomass and enzymatic activity, and
15 reduce greenhouse gas emission and energy consumption.

16 Glyphosate enables farmers to establish
17 crops relatively quickly and easily because it can be
18 used with a minimum tillage approach. This makes
19 glyphosate a popular tool for many farmers that desire
20 to incorporate these soil conservation practices into
21 their operations. The use of glyphosate herbicides
22 has become so widespread because of the benefits
23 offered to farmers. Applying glyphosate before the
24 new crop is planted has the potential to produce up to

1 30 percent higher yields at harvest, depending on the
2 weed population and other environmental conditions.

3 Another important benefit for farmers
4 is that glyphosate also breaks the green bridge in
5 that it removes the weeds that might otherwise act as
6 an intermediate host for parasites and other plant
7 disease vectors when young crops are emerging. For
8 instance, aphids are a common vector of plant viruses
9 such as the barley yellow dwarf virus that can destroy
10 up to half of many cereal crops. Applying glyphosate
11 removes potential aphid host plants, reducing the risk
12 of virus-carrying aphids transferring from weeds to
13 the crop plants when they emerge.

14 My last area of comment relative to
15 this SAP is in regards to the benefits of glyphosate
16 to agriculture in glyphosate-tolerant cropping
17 systems. Combining the broad-spectrum activity of
18 glyphosate with crops tolerant to that herbicide has
19 enabled simplified and efficient weed control which,
20 in turn, reduce the need for alternative technologies
21 such as tillage and hand labor. Glyphosate is
22 currently used on the majority of corn, cotton, sugar
23 beet, canola, and soybean acres in the United States.

1 Perhaps the most notable and
2 economically significant impact of glyphosate is that
3 it has supported a transformation in agricultural
4 practices. Prior to the introduction of glyphosate-
5 tolerant crops, soybean farmers had few post-emergent
6 herbicide options that would control broadleaf weeds
7 without injuring the crop. Following the introduction
8 and adoption of glyphosate-tolerant crops, glyphosate
9 displaced several other herbicides, lowered the cost
10 of weed management, and reduced the amount of labor
11 needed to manage weeds in these crops.

12 Today, glyphosate-tolerant crops are a
13 foundation of U.S. production and exports of corn,
14 soybeans, and canola thus providing significant
15 economic returns to U.S. agriculture.

16 As I noted earlier, glyphosate alone
17 and glyphosate used in combination with glyphosate-
18 tolerant crops has reduced the need for mechanical
19 tillage. This reduction provides many well-documented
20 benefits to the farmers, the public, and the
21 environment overall from savings in fuel and labor
22 cost to reduced soil erosion, increased wildlife
23 habitat, and improved water and air quality.

24 Conventional tillage practices sometimes require as

1 many as five passes over the land with a plow.
2 However, no till requires just a single pass to plant
3 seeds.

4 A Purdue University study calculated
5 that a farmer implementing conservation tillage can
6 save 225 hours of labor per year on a 500-acre farm.
7 That is the equivalent of four 60-hour workweeks saved
8 per year. No till farming can actually be utilized,
9 to drastically increase water infiltration and
10 retention by the soil. Meaning there is less run-off
11 and more soil moisture available for the crops.

12 A 2016 report from the National Academy
13 of Sciences on the impacts of genetically engineered
14 crops noted that it is difficult to establish a cause-
15 and-effect relationship between the adoption of
16 herbicide-tolerant crops and conservation tillage in
17 general. However, the same report acknowledges that
18 multiple studies have found that increases in
19 conservation tillage and reduced tillage follow the
20 adoption of herbicide-tolerant crops.

21 The association between conservation
22 tillage and herbicide-tolerant crop adoption is
23 strongest for soybean, cotton, and sugar beet. For
24 example, an analysis of the relationship between

1 conservation tillage and glyphosate-tolerant soybean
2 adoption found that adoption of that cropping system
3 has a direct positive influence on the adoption of
4 conservation tillage practices. With a one percent
5 increase in glyphosate-tolerant soybean adoption
6 leading to a 0.21 percent increase in conservation
7 tillage.

8 A 2012 USDA Agricultural Resource
9 Management Survey found that approximately 97 percent
10 of soybeans grown in the U.S. were herbicide-tolerant.
11 And 70 percent of U.S. soybean growers practiced
12 conservation tillage. The economic benefits of
13 glyphosate-tolerant cropping systems have grown from
14 just providing farmers with simplified weed management
15 to becoming the foundation of trade between exporting
16 and importing countries. Specifically, glyphosate-
17 tolerant soybeans drive most of the value created by
18 U.S. export markets.

19 A 2010 report from the National
20 Research Council within the National Academies of
21 Science examined numerous reports and studies and
22 noted that the availability of herbicide-tolerant
23 soybean partially drove increases in soybean plantings

1 in both the U.S. and abroad, particularly in Argentina
2 and Brazil.

3 The National Research Council went on
4 to observe that increased soybean availability reduced
5 prices making them a more affordable component of food
6 and feed. Further, reduced feed prices were a
7 significant benefit for livestock producers around the
8 world because animal feed can represent half the cost
9 of livestock production.

10 Maintaining access to this vital
11 technology is essential not only for farm-level
12 productivity but also for food security around the
13 world. Reverting to pre-glyphosate-tolerance
14 agronomic practices would have significant effects on
15 labor requirements, significant environmental impacts,
16 and would reduce the availability of commonly traded
17 commodities. Notably, losing access to glyphosate
18 would also complicate efforts to control weeds in
19 other agronomic systems as well in non-agricultural
20 settings.

21 In conclusion, DuPont is deeply
22 invested in building resiliency in food systems around
23 the world. Our investment in innovation and discovery
24 supported farmers in the 20th century as they

1 increased agricultural productivity by more than 12-
2 fold between 1950 and 2000. Today, we're providing
3 the needed innovation as farmers rise to the 21st
4 century challenge of increasing productivity by 60
5 percent between mid-2000s and 2050 in order to feed an
6 expected nine billion people.

7 As a science company and a leader in
8 the agricultural industry, DuPont strongly supports
9 science-based decision-making by EPA. DuPont also
10 strongly supports risk-assessment methodologies that
11 are consistent with the FIFRA risk benefit mandates.
12 Our ability to continue to innovate and bring new
13 products to market depends on it.

14 EPA's conclusion, after a robust risk
15 assessment that glyphosate is not likely to be
16 carcinogenic to humans at doses relevant to humane
17 exposure, combined with the fact that glyphosate
18 provides significant benefits to agriculture, clearly
19 supports continued registration of glyphosate
20 consistent with EPA's risk benefit mandate.

21 Thank you again for your time and
22 attention this afternoon.

23 **DR. JAMES MCMANAMAN:** Any questions?

24 Yes, Ramesh.

1 **DR. ARAMANDLA RAMESH:** This is Ramesh.
2 Does DuPont manufacture glyphosate?

3 **DR. JACOB VUKICH:** We are a registrant
4 of end-use products with glyphosate. We do not
5 manufacture glyphosate.

6 **DR. ARAMANDLA RAMESH:** Okay.

7 **DR. JAMES MCMANAMAN:** Other questions?
8 Dr. Johnson.

9 **DR. ERIC JOHNSON:** I'm a little bit
10 concerned about the overemphasis on the 1,000 mg/kg
11 threshold. Over and over we keep on hearing that
12 anything above that is not relevant to this
13 assessment. But most of the chemicals which we do
14 risk assessment on to protect the general population,
15 let's take dioxin, for example, the level of the
16 dioxin concentration in the general population is like
17 2 or 3 or 5 part per trillion.

18 To determine whether dioxin causes
19 cancer in humans, we rely on occupational studies
20 which have orders of magnitude exposure much greater
21 than 3 parts -- usually more than 1000 even. If you
22 look at the Nial (phonetic) study, I think the highest
23 concentration was, like, 33,000 parts per trillion.
24 We have all these very high exposures which are in the

1 manufacture of the compound which we don't see in the
2 general population.

3 Yet, that's what we use. We
4 extrapolate if we find that it causes cancer among
5 workers, we've used that regulate the compound. And
6 here, again, with glyphosate, we have a situation in
7 which we do not have any information whatsoever from
8 the manufacture of this compound where we would
9 normally expect high levels of exposure to this
10 compound. That troubles me.

11 The 1,000 I think is overemphasized too
12 much because in practice, we always use, as Dr. Crump
13 here who has worked on Benzene, it's the same thing
14 with Benzene. I think that nowadays the average
15 exposure is maybe 0.4. On studies we did on
16 biomarkers, 0.4 was the maximum, 0.4 parts per
17 million. And yet in industry, which we used to
18 determine that benzene was the (inaudible), the levels
19 were more than 400 of the 1,000 parts per million.
20 And we used that to extrapolate and to protect the
21 population.

22 **DR. JAMES MCMANAMAN:** Dr. Johnson,
23 maybe this is an important point to bring up during

1 the charge question discussion. But we should be
2 asking the presenter about his presentation. And --

3 **DR. ERIC JOHNSON:** Okay.

4 **DR. JAMES MCMANAMAN:** All right. I
5 think unless there are other questions -- oh, Dr.
6 Portier.

7 **DR. KENNETH PORTIER:** Just a quick
8 question. Do you roughly know how many people in
9 DuPont are engaged in the manufacturing or the mixing
10 of glyphosate, glyphosate products in the U.S., not
11 worldwide?

12 **DR. JACOB VUKICH:** Yeah. As I
13 mentioned, DuPont is a registrant of end-use products.
14 As such, we source those products from other sources.
15 We do not manufacture those products. DuPont folks do
16 not. Right. Right.

17 **Dr. KENNETH PORTIER:** Okay.

18 **DR. JAMES MCMANAMAN:** Okay. Thank you
19 very much.

20 **DR. JACOB VUKICH:** Thank you. Thank
21 you.

22 **DR. JAMES MCMANAMAN:** Okay. We are
23 running a little bit ahead of time. We keep switching
24 around from running behind to running ahead. What we

1 would like to stay on track to give the panelists
2 plenty of time to engage in discussion of the charge
3 questions, which was rather limited at the outset.
4 Running ahead is going to be beneficial in the long term
5 for the panelists.

6 What we'd like to do right now is to
7 bring up Kevin Hoyer, Andy Hedgecock, and Martin
8 Barbre, if they're here, for presentations.

9 And ask, since we are running ahead, I
10 think we'll have some time for people who are
11 scheduled to present tomorrow to present today. If
12 the folks from Syngenta, Consumer's Union, and
13 Department of Agricultural, and Moms Across America
14 are here and could let us know that they're ready to
15 present, that would be great if they could come up and
16 let Mr. Knott know about your availability.

17 Okay. Mr. Hoyer, American Soybean
18 Association.

19 **MR. KEVIN HOYER:** Thank you. Good
20 afternoon. My name is Kevin Hoyer. My wife, Jody,
21 and I run a 500-acre soybean and corn farm nestled in
22 the bluffs along the Mississippi River located just
23 outside West Salem in West Central Wisconsin. I also
24 work for a local family-owned independent ag retailer

1 as their agronomy department manager where I am the
2 agronomist and carry the certified crop advisory
3 credentials, which is also known as a CCA. I'm also a
4 member of the American Soybean Association.

5 I offer these comments today to
6 represent American's soybean farmers who have embraced
7 the use of glyphosate. This panel has the potential
8 to create significant change for every single soybean
9 farmer in the U.S. While I have no expertise to offer
10 on the scientific issues related to the carcinogenic
11 potential of glyphosate.

12 But as a farmer who handles this
13 product on a regular basis, I rely on the EPA and its
14 longstanding conclusion reiterating just this
15 September that glyphosate is not likely to be
16 carcinogenic to humans at dose relevant to human
17 health risk assessments. Further, no regulatory
18 agency in the world considers glyphosate to be a
19 carcinogen. I do want to impress upon the panel how
20 important glyphosate is in pursuing what I believe is
21 our common goal, continually improving the
22 environmental sustainability of our crop production
23 while growing a safe and abundant food supply.

1 And there is perhaps no crop protection
2 product that has a bigger impact than glyphosate.
3 Glyphosate has been instrumental in allowing me to use
4 conservation practices that are beneficial to the
5 environment that I farm in such as utilizing no
6 tillage and reduced tillage practices. One of my
7 fellow soybean farmers reminded me that production of
8 agriculture looked like just 40 years ago, before
9 glyphosate enabled a weed control system that was
10 effective, safe, and easy to use.

11 Before that, we depended heavily on
12 cultivation and tillage to control weeds. As a
13 result, erosion was rampant, stream quality was
14 heavily loaded with sediment which carried loads of
15 phosphorous, typically from animal manures which was
16 surface spread and could easily enter the streams.
17 The snow-filled road ditches in the winter were black
18 from the wind erosion of the soil on that winter snow.
19 Many farmers at that time still had open tractors
20 without cabs and suffered the exposure to chemicals
21 used in that time which were many times more harmful
22 to humans than the ones we have in use today.

23 When glyphosate became available, even
24 before the adoption of biotechnology in our seeds in

1 the mid-1990s, it became one of the fastest adopted
2 technologies of my career. The simple weed control it
3 offered convinced farmers across the country to take
4 the risk of adopting no tiller reduced tillage methods
5 because we could now control weeds with minimal risk.
6 The organic matter in our soil began to improve. Soil
7 loss declined, water infiltration rates improved, and
8 yields continued to increase.

9 As available agricultural lands
10 continued to decrease, we need viable tools to improve
11 the sustainability of our ag community. The
12 sustainability of the environment is highly important
13 to me, as I see the remaining effects of erosion and
14 over intensive tillage on the landscape in the rolling
15 ridges and valleys that are prevalent in my region.

16 Then came the glyphosate-tolerant
17 soybeans. ASA strongly supports biotechnology. We
18 believe the development of biotechnology enhanced
19 soybean varieties and their products can benefit
20 farmers, consumers, and the environment. Today,
21 approximately 95 percent of the soybeans grown in the
22 U.S. are Roundup Ready. That has led directly to 70
23 percent of soybean farmers now practicing conservation
24 tillage.

1 Now soybean farmers are moving to adopt
2 cover crops. Again, glyphosate will be essential
3 because it allows us to terminate those cover crops
4 safely and easily. The alternative to this is
5 Paraquat, also known as Gramoxone. That product cost
6 twice as much as a restricted-use product and has
7 the skull and crossbones on the label along with the
8 words "Danger poison," which is the most hazardous
9 designation of the pesticides.

10 Glyphosate, in comparison, only carries
11 the caution designation, which is the lowest hazard.
12 Losing glyphosate would mean a tradeoff with
13 significant cost to farmer, pesticide applicators, and
14 consumers. These are practical implications of the
15 decisions this panel will make.

16 To conclude, I can follow a lifetime of
17 continuous change in agriculture and trace the
18 adoption of glyphosate to broad advances in
19 agricultural sustainability, improving soil, water,
20 and air quality for every American. Scientific
21 studies concluded over the decades have overwhelmingly
22 shown that when used according to the label glyphosate
23 does not present an unreasonable risk or adverse
24 effects to human, wildlife, or the environment.

1 On behalf of America's soybean farmers,
2 I encourage the Agency to conduct a timely science-
3 based review of glyphosate that takes into account the
4 decades of research demonstrating the safety of this
5 herbicide and the important benefit it brings to
6 farmers and our shared goal of agricultural
7 sustainability. Thank you very much.

8 **DR. JAMES MCMANAMAN:** Thank you.

9 Any questions for Mr. Hoyer?

10 (Whereupon there was no response)

11 **DR. JAMES MCMANAMAN:** Okay. Thank you
12 very much.

13 Next up is Mr. Hedgecock from FMC.

14 **MR. ANDY HEDGECOCK:** So my name is Andy
15 Hedgecock. And I'm the Director of Global Regulatory
16 Affairs for FMC Agricultural Solutions representing
17 our subsidiary, Cheminova A/S, who is a technical
18 registrant for glyphosate. Our end-use product
19 registrations for glyphosate were acquired as part of
20 our Cheminova portfolio earlier last year. We are not
21 currently marketing these products for ag uses in the
22 U.S. But we do sell glyphosate for ag and forestry
23 uses elsewhere in the world.

1 In the U.S., FMC sells glyphosate
2 products to partners who serve the consumer market
3 through both outlets and hardware stores and garden
4 supply stores. I am here to comment specifically on
5 the agency's draft framework and use of
6 epidemiological studies.

7 As you've heard from many others today,
8 respected regulatory authorities in Canada, Japan,
9 Australia, Germany, and the European Union, as well as
10 FAO, WHO, JMPR, having access to a broad dataset and
11 criteria for use have concluded that glyphosate is
12 unlikely to cause cancer in humans. The U.S. EPA's
13 Carcinogen Assessment Review Committee came to the
14 same conclusion. We're pleased to see that the
15 agency's overall conclusion about the carcinogenicity
16 classification for glyphosate supports the conclusions
17 reached by these global authorities.

18 Although the agency's review of the
19 carcinogenicity of glyphosate was consistent with the
20 conclusions drawn by other global regulators, we have
21 concerns about the agency's use of epidemiology study
22 outcomes in its risk assessment. We also are
23 concerned about the precedent this sets for the

1 registration and registration review of other
2 chemicals moving forward.

3 We understand that OPP has been
4 adopting this new approach on epidemiology study
5 reports are evaluated, weighted, and then integrated
6 into the risk assessment process. Thus, impacting how
7 regulatory decisions are made. The shift in approach
8 to use epidemiological study outcomes in human health
9 risk assessment is precedent setting. And likely will
10 have dramatic implications for the evaluation of
11 chemicals regulated by EPA under FIFRA.

12 In 2010, OPP developed a draft
13 framework for incorporating human epidemiologic and
14 incident data in health risk assessment. The draft
15 framework was introduced during an SAP held that same
16 year. This was the only time the public had an
17 opportunity to review and comment on the draft
18 framework, as EPA did not issue the draft framework
19 for notice or comment. The draft framework was
20 created to guide the agency's use of human
21 epidemiological studies in assessing potential risk.

22 In the draft framework, EPA itself
23 acknowledged the risk and limitations of relying on
24 epidemiological studies for regulatory decision-

1 making. Six years after the 2010 SAP, EPA has not yet
2 responded to the multitude of comments expressing
3 scientific concern over the use of epidemiological
4 studies in human health risk assessment. And the
5 Agency has not finalized the framework.

6 Nevertheless, EPA has begun using
7 specific epidemiological study outcomes incorporating
8 the correlations or associations into human health
9 risk assessments in recommending policy changes with
10 the potential to greatly impact pesticide
11 registrations and registration reviews. We have
12 observed the Agency has applied this draft framework
13 to its review of cancer endpoints for glyphosate and
14 non-cancer endpoints for chlorpyrifos, all other OPs,
15 and atrazine and has done so inconsistently to reach
16 their conclusions.

17 We strongly believe there is a need for
18 guidance that formalizes criteria for the use of human
19 epidemiologic studies and reported outcomes in human
20 health risk assessments. We suggest the guidelines
21 establish a standard set of study quality or
22 acceptability criteria both for inclusion and also for
23 omission of studies that do not meet the standard set
24 of criteria.

1 Toxicological exposure studies
2 submitted to EPA for consideration during registration
3 or registration review processes must meet strict
4 design and good laboratory practice quality criteria
5 with disclosure all analyses. An equally strict set
6 of quality criteria must be developed and applied to
7 epidemiological studies.

8 All lines of evidence going into the
9 review and analysis of epidemiology studies should be
10 transparent and have a formalized standard of
11 evaluation. This should include strengths and
12 weaknesses of the study design, ability to replicate
13 the study, reliability and accuracy of methods used to
14 obtain study data, appropriateness, reliability, and
15 accuracy of the data analysis employed, how study data
16 and reporting biases are controlled, and reporting
17 quality and accuracy.

18 Because it is not possible to evaluate
19 these parameters without having access to the study
20 data, a mechanism must be included to make the
21 underlying epidemiological data available to the EPA
22 and to the registrant so the quality of the data can
23 be established and the published analyses confirmed or
24 refuted. EPA and those undertaking studies should be

1 held to the same quality standards and requirements to
2 provide access to studies, methods, and data as
3 registrants are required to submit for every
4 registration and registration review.

5 We support a weight-of-evidence
6 approach for considering and evaluating study quality.
7 Weights afforded observational human epidemiological
8 studies compared to harmonized test guidelines for
9 animal toxicity testing that are specifically designed
10 for the risk assessment must be developed. Vetted,
11 well-documented, quality studies reflect the
12 evaluation of all mechanisms of toxicity.

13 When data complex is seen and decisions
14 must be made, more robust data should be used over
15 data of lesser quality. Epidemiological studies may
16 form a basis for additional investigation, but they
17 should not be afforded greater weight than high-
18 quality guideline studies specifically designed for
19 regulatory use. To do so would result in serious
20 damage to the scientific credibility of EPA risk
21 assessments and call into question the entire
22 regulatory process under FIFRA.

23 In summary, overall, we believe the
24 agency's review of glyphosate carcinogenicity data was

1 comprehensive. The Agency appears to have
2 appropriately reached the same conclusion as other
3 respected global regulatory bodies that glyphosate is
4 unlikely to be a human carcinogen. While we agree
5 with the agency's conclusions here in the review of
6 glyphosate, we believe there are significant problems
7 with the EPA's use of epidemiologic studies in its
8 glyphosate evaluations.

9 Our comments should, in no way, be seen
10 as supportive of current EPA actions involving the use
11 of epidemiological studies under the 2010 draft
12 framework for other classes of chemistry. FMC has
13 concerns about the use of the 2010 draft framework
14 because of the inconsistencies in EPA's application
15 and the use of the draft framework for prior chemical
16 reviews that primarily focused on non-cancer
17 endpoints. EPA is using that 2010 draft framework for
18 regulatory decision making, without having responded
19 to comments submitted six years ago identifying issues
20 with the draft framework.

21 FMC supports CropLife America's request
22 to have the revised draft framework subjected to
23 public notice and comment. We encourage EPA to
24 provide stakeholders the opportunity to help develop a

1 set of criteria for determining the reliability and
2 acceptability of epidemiological studies for the use
3 in human health risk assessment and for reestablishing
4 a reliable, predictable process for pesticide
5 registration and registration review.

6 Until the framework is finalized after
7 consideration of all public comments, EPA should not
8 employ the draft framework for decision-making. Thank
9 you.

10 **DR. JAMES MCMANAMAN:** Thank you.

11 Questions. All right. Oh, Dr.

12 Portier.

13 **DR. KENNETH PORTIER:** Can't resist. I
14 was on that panel that reviewed the guidelines. And
15 one of the discussions we had was about working with
16 industry to develop epi studies in the manufacturing
17 facilities to understand higher-dosed exposed humans.
18 The nice thing about that is that you can incorporate
19 a lot of what you're asking for, which is tight
20 protocols, you know, good measurement, known
21 population.

22 Of course, it's a healthy workforce so
23 there's some issues there. But a lot of what you're
24 asking for could come out of that. In a lot of other

1 chemicals, I'm seeing assessments. That's usually the
2 best human data that we can have. Is that the kind of
3 thing you're asking for here?

4 **MR. ANDY HEDGECOCK:** What we've heard
5 throughout the process over yesterday and today is the
6 ability to produce that data in worker exposure. I'm
7 not an expert in that area or involved in that. My
8 understanding is, from listening to Dr. Acquavella,
9 that it would be difficult or challenging to produce.
10 I would be open to being in part of that conversation
11 on seeking that out from an FMC perspective.

12 **DR. KENNETH PORTIER:** And I apologize
13 for not being here yesterday to listen to it.

14 **MR. ANDY HEDGECOCK:** That's all right.

15 **DR. JAMES MCMANAMAN:** Other questions?
16 Did you have a -- no, I'm sorry, Dr.
17 Johnson.

18 **DR. ERIC JOHNSON:** Yeah. Let me just
19 point out the fact that we have a problem also in
20 academia, really, the lack of access to industry data.
21 And I've been working for, what, 30 years, I think, in
22 occupational studies. And not in one instance has
23 industry granted access to their data. And that's
24 very, very frustrating for us working in that field to

1 think that all the -- I mean to me it's troubling
2 because all the data would be coming from industry.

3 And industry can decide what epi
4 studies they want to do. And nobody has any control
5 to it. Or industry can decide not to do any of the
6 study at all just like we have now. In the glyphosate
7 situation, there's not a single published study of
8 glyphosate workers involved in the wholesale or
9 manufacturing, not a single. And these are the groups
10 that we rely on to get good data to extrapolate to the
11 general population.

12 It's very troubling to us who are
13 outside industry. And I think we really need, as a
14 country, really, we really need to look at this issue
15 of access to industry data for risk assessment. There
16 are many facets.

17 I mean, even I'm doing work on
18 bioassays and cancer. It's the same issue with the
19 poultry industry. All the data is coming from the
20 poultry industry. Not a single government institution
21 has data on how are we exposed to viruses that
22 concerns cancer in chicken. Not a single government
23 institution has that data. And industry decides what
24 they want to release to us. It's a big problem for us

1 outside industry. I think you are requesting good
2 data, but that can only come with you, as industry,
3 granting us access to industry data, as well.

4 **DR. JAMES MCMANAMAN:** Thank you, Dr.
5 Johnson. All right. Dr. Ramesh.

6 **DR. ARAMANDLA RAMESH:** This is Ramesh.
7 I have a different take on this. People in academia
8 would love to do research on glyphosate provided
9 somebody bankrolls their studies. Until that happens,
10 we have to go by what we have in hand and see whether
11 the rigorous QA/QC procedures have been employed, and
12 that data is a robust enough to come to a conclusion.

13 It is not because no studies have been
14 done by either government agencies or academia, does
15 not necessarily mean that what we have is not
16 valued. But to my colleague, Dr. Johnson, we can
17 debate further on this tomorrow.

18 **DR. JAMES MCMANAMAN:** All right. Thank
19 you.

20 All right. I think we'll move on to
21 Mr. Barbre.

22 **MR. MARTIN BARBRE:** Good afternoon. My
23 name is Martin Barbre. I'm here today to offer my
24 perspective as a farmer, someone who uses glyphosate,

1 and as past president of the National Corn Growers
2 Association. I'm not here to engage in a debate about
3 science or the safety of glyphosate, but rather,
4 provide you with an understanding of how this product
5 is used in agriculture and what it means to row crop
6 farmers like me.

7 My son, Brandon, and I farm 6,000 acres
8 raising yellow corn, white food-grade corn, seed, seed
9 soybeans, soybeans, and wheat. Most of our crops are
10 raised using either no till or conservation tillage
11 practices. I'm a fourth-generation farmer. And
12 Brandon and I are in the process of him taking over
13 the farm in the near future. Brandon has taken over
14 much of the day-to-day operation now.

15 Therefore, every farming decision we
16 make is motivated by what is best for the long-term
17 viability of the farm. From the crops we grow to
18 choices and tillage practices, everything is done with
19 an eye on the future. A key consideration for every
20 farmer is what crop protection tools to use to ensure
21 we raise a successful and healthy crop. One of the
22 most important tools I use on my farm is glyphosate.
23 And I am far from alone in this regard. Glyphosate is

1 the most widely used herbicide in the United States,
2 used on over 90 percent of corn and soybean acres.

3 I and all growers take very seriously
4 the types and amounts of crop protection products we
5 use on our land. When applying glyphosate, my goal is
6 to use the minimal amount, no more, to get the results
7 I need. Typically for me, that means one or two
8 applications on my corn per season using only three-
9 quarters of a pound per acre. My children and
10 grandchildren live on the ground where I grow corn.
11 And I would never want to degrade the environment by
12 overuse of any product.

13 Additionally, glyphosate allows me to
14 use less benign modes of action thus reducing my and
15 the environment to exposure while maintaining the
16 efficacy of the herbicides. I seek to use all inputs
17 as efficiently as possible both for environmental and
18 health reasons and because it makes good financial
19 sense.

20 In a typical season before I plant,
21 I'll put down Basis Herbicide as a pre-emergent weed
22 control so I can plant into a clean field. And the
23 corn can start with no competition for water and
24 fertilizer. A few weeks after the corn has come up

1 and before the leaves fill in the rows, if, and only
2 if, there's weed pressure that has developed I can go
3 over the field again to reduce that competition for
4 resources and allow that crop to finish out the
5 season.

6 After harvest, I'll allow that corn
7 stover to sit on the land, preserving moisture and
8 protecting the soil over the winter. Come spring
9 prior to planting, I don't have to do major tillage on
10 those fields to prepare them. The weed control and
11 minimal tillage to get the crop in is all it takes to
12 continue that cycle. It's been 18 years that I've
13 been able to minimize soil disruption due, in large
14 part, to glyphosate.

15 My use of glyphosate impacts several
16 parts of my operation. Beyond controlling weeds, this
17 product allows greater use of no till and conservation
18 tillage on my ground saving fuel, labor, and
19 emissions. We are able to farm more acres with the
20 same equipment and labor force. These practices could
21 not be done as widely prior to the introduction of
22 glyphosate. The amount of control over my nutrient
23 runoff, erosion, and water use has been enhanced as a
24 result.

1 Before these modern tools were
2 available, a major weed management tool was heavy
3 tillage of the land. This involved more gallons of
4 fuel, more wear and tear on equipment, greater
5 exposure of the soil to wind and rain erosion, and
6 less carbon that could be incorporated into the soil
7 to improve soil health.

8 I run a business. And glyphosate helps
9 that business run more efficiently. There is no
10 economic incentive to overuse the product. That
11 weakens my bottom line and works against my goal of
12 running a profitable, sustainable operation. I care
13 about my family, my land, and my business. And
14 glyphosate is a tool that is safe to use to meet my
15 environmental and economic goals. Thank you.

16 **DR. JAMES MCMANAMAN:** Thank you.

17 Questions.

18 Yes, Dr. Shepard.

19 **DR. LIANNE SHEPPARD:** I was curious as
20 to whether you have considered or there's any need to
21 use glyphosate shortly before harvest or for green
22 burndown, for example?

23 **DR. MARTIN BARBRE:** We don't raise
24 crops that use that procedure. For me, no.

1 DR. JAMES MCMANAMAN: Other questions?

2 (Whereupon there was no response)

3 DR. JAMES MCMANAMAN: Okay. Thank you
4 very much.

5 Next up, we'll have Amanda Starbuck,
6 Bill Freese, and Robert Hamilton.

7 Oh, yeah. Sorry. Before you come, we
8 decided to do a short break, five minutes. We have
9 some presentations to load. 10 minutes? All right.
10 We'll do 10 minutes. So be back at 4:20.

11 (Whereas a break was taken)

12 DR. JAMES MCMANAMAN: Okay. I think
13 we've had our break. We can begin again. Okay. I
14 have next up is Amanda Starbuck from Food & Water
15 Watch.

16 We're ready when you are.

17 MS. AMANDA STARBUCK: Well, good
18 afternoon. And thank you for the opportunity to speak
19 today. My name is Amanda Starbuck. And I'm a
20 researcher at Food & Water Watch, a national nonprofit
21 advocacy organization. We are concerned that the
22 EPA's glyphosate assessment relies too heavily on
23 industry studies, downplays positive findings, and

1 fails to consider toxicity of entire glyphosate
2 formulations.

3 Today I delivered petitions from over
4 42,000 concerned citizens who are calling on EPA to
5 suspend the use of glyphosate until it completes an
6 unbiased assessment of whole formulations.

7 Transparency is key to the scientific process. So is
8 peer review. Alarming, the majority of studies
9 incorporated into the glyphosate assessment lack both.

10 More than half were commissioned by
11 industries that manufacture and market glyphosate.
12 This conflict of interest can create biases and
13 results in findings that are favorable to industry.
14 Moreover, being unpublished, these studies have not
15 undergone the rigorous peer review process, nor are
16 they accessible to other scientists and to the general
17 public.

18 Nevertheless, the EPA seems to favor
19 these industry studies over those from the open
20 literature. The assessment excludes several relevant
21 studies uncovered during the open literature review,
22 including any that focus on cellular processes.

23 This left out a study that found that
24 glyphosate alone is toxic to human placenta,

1 embryonic, kidney, and neonate cells. Additional
2 studies found that glyphosate alone is toxic to human
3 cells, were labeled not relevant with no explanation
4 given.

5 Alarming, one found that glyphosate
6 causes the growth of human breast cancer cells.
7 Leaving out such critical studies without
8 justification is not transparent and calls into
9 question EPA's intentions. Two studies are mentioned
10 on the final page of the assessment with the tagline
11 "Considered during review but excluded from analysis."
12 Both conclude that glyphosate alone is genotoxic to
13 mice and no explanation was given for either being
14 excluded.

15 Another troubling trend is to drown out
16 any positive evidence of the carcinogenicity of
17 glyphosate. For instance, nearly half of the animal
18 carcinogenicity studies found statistically
19 significant of tumor growth. However, the assessment
20 compares those results to unrelated control groups,
21 effectively reducing their statistical significance.
22 Many scientists have noted that comparing findings to
23 unrelated controls requires caution and risk creating
24 biases.

1 Additionally, roughly one-quarter of
2 all genotoxic studies found positive evidence of
3 genotoxicity. Yet, EPA concludes the assessment by
4 saying overall, there is a remarkable consistency in
5 the database for glyphosate across multiple lines of
6 evidence. This is grossly misleading and creates the
7 illusion of scientific consensus on the safety of
8 glyphosate.

9 It should be noted that only one of the
10 56 industry genotoxicity studies found positive
11 evidence compared to 21 of the 34 open literature
12 studies, making them 35 times more likely to find
13 positive results. Yet, by flooding the database with
14 industry studies, EPA effectively drowns out these
15 positive studies.

16 Finally, emerging evidence suggests
17 that glyphosate formulations are more toxic than
18 glyphosate in isolation. And moreover, that the
19 toxicity of these formulations is not dependent on the
20 concentration of glyphosate that they contain.
21 Nevertheless, EPA's glyphosate assessment only reviews
22 studies that look at glyphosate in isolation. A
23 review that is protective of public health would take
24 a more realistic view on the exposures that are

1 unlikely to happen, not an artificially narrow
2 approach.

3 We urge the Environmental Protection
4 Agency to immediately block the use of glyphosate
5 herbicides until the Agency produces a fair and
6 unbiased assessment of the carcinogenicity of entire
7 formulations of products that are commercially
8 available. Thank you for your time today.

9 **DR. JAMES MCMANAMAN:** Thank you.

10 Questions for this presenter?

11 **DR. SONYA SOBRIAN:** Okay. How would
12 you rank GOP studies versus peer review? You talked
13 about peer evaluation. And the open literature
14 industry studies are done under GOP which means
15 they're audited. Peer review studies don't have to be
16 done under GOPs. You still rank them higher?

17 **MS. AMANDA STARBUCK:** Explain to me the
18 GLP review process?

19 **DR. SONYA SOBRIAN:** They have to have
20 written protocols. They have to follow standard
21 operating procedures. And the data is audited by an
22 independent audit.

23 **MS. AMANDA STARBUCK:** And the data can
24 still be audited but there's no one there actually

1 watching them actually performing the evaluations in
2 the laboratory.

3 **DR. SONYA SOBRIAN:** They can be.

4 **MS. AMANDA STARBUCK:** Okay.

5 **DR. SONYA SOBRIAN:** So I just wondered
6 what was your understanding of good laboratory
7 practices? And I guess you just answered the
8 question.

9 **MS. AMANDA STARBUCK:** Yeah.

10 **DR. JAMES MCMANAMAN:** Other questions?

11 (Whereupon there was no response)

12 **DR. JAMES MCMANAMAN:** Okay. Thank you
13 very much. Next up is Mr. Bill Freese from Center for
14 Food Safety.

15 **MR. FREESE:** I appreciate the
16 opportunity to be able to comment on Glyphosate today.
17 My name is Bill Freeze. I'm the science policy
18 analyst at the Center for Food Safety. We're a
19 nonprofit group that supports sustainable agriculture.
20 And we have submitted two sets of comments to this
21 docket. And they are best accessed on our website
22 where we also have about 50 supporting materials. I'm
23 going to very briefly discuss a lot of material.

1 Almost all of it is covered in detail in our comments.

2 I'm going to skate over a few points.

3 First of all, the problems the
4 deviations of EPA's evaluation of Glyphosate from its
5 guidelines for carcinogen risk assessment. And these
6 points were largely covered yesterday. High dose
7 issues, monotonic response not being a proper
8 criterion in historical control data. I did actually
9 want to take one example about how it seems to me that
10 EPA misused historical control data. And this is the
11 18-month CD-1 mouse study. It showed very, very
12 significant trend for malignant lymphomas, monotonic,
13 although that wasn't mentioned by EPA for some reason.
14 EPA partly discounted this study because it said the
15 concurrent control incidence was low.

16 And yet it basically referred to
17 literature historical control data, and included two-
18 year studies, together with 18-month studies. Of
19 course, you're going to have a higher rate of
20 lymphomas in two year studies and those should have
21 been excluded. When you do exclude them, you find
22 that the concurrent control incidence was not too low.
23 The issue came up yesterday that perhaps EPA wasn't
24 giving quite enough credence to statistical

1 significance and I agree with that. I mean the EPA
2 guidelines say that significance in either trend or
3 pair-wise comparison is sufficient to eject chance.

4 That would seem to leave either the
5 agent is actually carcinogenic, or there's secondary
6 carcinogenic effects, secondary to excessive toxicity.
7 EPA doesn't really choose either one. Usually we find
8 it kind of just dismisses quite a few significant
9 findings. Another issue that was raised, I believe by
10 Dr. Sheppard, was is this a hazard or a risk
11 assessment? And this really comes to a head with the
12 whole high dose selection issue. The guidelines say
13 that the high dose should be selected to provide a
14 maximum ability to detect treatment related
15 carcinogenic effects.

16 And it cannot serve this purpose and
17 also approximate human exposure which seems to be what
18 EPA is trying to do here, have it both ways. Again,
19 the guidelines are very clear. There should be a
20 clean hazard determination and only then should human
21 exposure levels be taken into consideration in the
22 context of a risk assessment. I looked at several
23 other cancer assessments. And these two particular
24 pesticides were judged by EPA to be likely

1 carcinogenic based entirely or primarily on tumors at
2 1,000 milligrams per kilogram per day or above.

3 And there was no suggestion in either
4 assessment that these were excessive doses. Instead
5 they looked at biological effects. Also, they were
6 all negative for mutagenicity assays. This is covered
7 in my comments but I urge the SAP to exclude four
8 rodent studies that EPA evaluated, two of them from
9 the 1970s. One of them is actually not on Glyphosate
10 at all but rather on a Glyphosate contaminant known as
11 N-Nitrosoglyphosate. The second has disqualifying
12 deficiencies. And both of these were done by the
13 notorious Industrial Bio-Test Laboratories which was
14 convicted in federal court for falsifying animal
15 studies including some for Monsanto in the 1970s.

16 Also, the two on Sulphosate should be
17 excluded. It's the Trimesium Salt of Glyphosate with
18 very different properties than other Glyphosate salts.
19 I think if you look at the animal data properly you
20 see things a lot differently than EPA does in the
21 issue paper. And this, I should say, relies partly on
22 the comments of Christopher Portier. There are 15
23 statistically significant trend findings. A lot of
24 the nine highly significant. And you find multiple

1 positive studies for several different tumor sites. I
2 just want to make a few brief points on the
3 epidemiology.

4 EPA said that we have no clue what the
5 latency period for NHL is, it could be one to 25
6 years, citing Dr. Weisenburger. I hope you folks have
7 seen he submitted a comment to the docket saying for
8 something like Glyphosate low-dose exposure he would
9 anticipate roughly 20 years' latency for NHL. It
10 would be five or six years for something like ionizing
11 radiation. The other major point is EPA argued that
12 if Glyphosate causes non-Hodgkin lymphoma, that one
13 would expect later epi studies to have higher risk
14 estimates than earlier epi studies, given the huge
15 increase in Glyphosate use with Roundup ready crops.

16 And the problem with this idea is that
17 total Glyphosate use is a very crude measure and it's
18 basically composed of two factors. One is acres
19 treated and the other is the rate that used. Now it
20 is the rate of Glyphosate that is used which would
21 approximate exposure, farmer exposure. And what I've
22 done here is, and this is in my comments too, so
23 basically what you can see is that the Glyphosate
24 usage rates were actually quite high in the 1980s.

1 Which is also when the Epi study was done, De Roos,
2 et. al., 2003 which found one of the higher risk
3 estimates.

4 So actually, you would expect higher
5 risk estimates in the earlier studies given the higher
6 rate of Glyphosate that was used. Here the data point
7 that I have is 1982. That's explained more fully in
8 my written comments. To do an integrated hazard
9 assessment I think if you take lymphoma for an example
10 it seems like you have positive findings and all three
11 major areas, the NHL in farmers and applicators,
12 malignant lymphomas in three rodent studies. And by
13 the way, the supposed viral infection, that seems to
14 have sprung from speculation in Greim, et. al., the
15 industry-sponsored review.

16 I haven't seen any proof that there is
17 a viral infection. And in fact, EPA says it's only
18 speculation in the DER for this study. The
19 concordance here I think supports the whole idea that
20 Glyphosate is a cause of lymphomas. Glyphosate
21 clearly fits the hazard descriptor likely to be
22 carcinogenic to humans. And there are two of five
23 criteria I've listed here. One is an agent that has
24 shown carcinogenicity in either two species or strains

1 or sites. And the other is one positive study with
2 plausible but not definitively causal association in
3 an Epi study.

4 If Glyphosate were to be properly
5 labeled as likely to be carcinogenic then that would
6 call for a risk assessment. And this would take us
7 back to the eighties when EPA actually started this
8 process and calculated a cancer potency factor for
9 Glyphosate. This is explained more fully in my
10 comments as well. I just wanted to mention, the
11 question of bioaccumulation came up yesterday. And
12 there is evidence that Glyphosate accumulates, if at a
13 low level, in the kidney.

14 EPA has granted tolerances which are
15 maximum permitted residues for Glyphosate in livestock
16 kidneys. Animals that eat Glyphosate treated feed,
17 they accumulate some level of Glyphosate in the
18 kidney. And there are also some studies showing in
19 particular renal tubular dilation at low doses. And
20 this is in material that I've submitted to the docket.
21 I just want to touch on a broader context herbicide-
22 resistant crops in general. Basically, they've
23 introduced us to a new era of unconstrained herbicide
24 use.

1 By making the crop resistant to the
2 herbicide farmers are able to apply it much more
3 freely without concern for injuring the crop. This
4 means applying it through much or all of the growing
5 season rather than just early in the year. I just
6 want to touch on three consequences. One is a sharp
7 rise in herbicide use in American agriculture over the
8 Roundup ready crop era. And this shows pounds per
9 acre per year on three major crops that are now mostly
10 herbicide-resistant. And especially in soybeans and
11 cotton herbicide use has gone up dramatically.

12 There is also environmental harm.
13 Glyphosate uses wiped out milkweed in Midwest crop
14 fields which is a major factor in the decline of
15 Monarch butterflies. Another issue is the drift,
16 herbicide drift damages crops. In particular, Dicamba
17 applied to Dicamba-resistant crops which are just
18 coming out, is causing tons of crop damage in the
19 Midwest. And of course, Glyphosate resistant weeds,
20 which I'm sure you've all heard about, they now infest
21 at least 60 million acres or more.

22 Basically, about half of all U.S.
23 farmers say they have Glyphosate resistant weeds.
24 Again, this is from intensive use of Glyphosate

1 selecting for resistance in various weeds. These
2 Glyphosate resistant weeds have been termed what one
3 reporter called an arms race. Which is a very
4 significant opportunity for chemical companies. They
5 are developing new herbicide resistant crops that are
6 resistant to multiple herbicides: Glyphosate, plus
7 2,4-D, Dicamba, a score of others. This is all being
8 sold as a response to Glyphosate resistant weeds.

9 Spray the 2,4-D and you'll control the
10 Glyphosate resistant weeds. The trouble is it will
11 lead to more resistance to these other herbicides.
12 For instance, one impact will be a huge increase in
13 2,4-D with crops that are resistant to it. And this
14 is a projection by USDA and Dow, the impact of
15 introducing 2,4-D resistant corn and soybean. It's a
16 really huge increase in 2,4-D that we're likely to see
17 in the coming five years or so. Just briefly, one of
18 the flaws in EPA's assessments paradigm is of course
19 just looking at the active ingredient rather than the
20 full formulation.

21 As I'm sure you know there are
22 adjuvants in the formulations that farmers use. A lot
23 of them in terms of herbicides are used to help
24 increase the absorption of the active ingredient into

1 the crop. And there's always the question of whether
2 these adjuvants could also increase human absorption
3 as well. The other thing, and this I think is really
4 important because folks were expressing frustration
5 and I understand it yesterday, this idea of how do you
6 assess Epi studies when you always have confounding
7 with other pesticides?

8 Well one of the things that's happening
9 now with these new multiple herbicide resistant crops
10 is companies will be introducing multiple herbicide
11 formulations such as Enlist Duo which is 2,4-D plus
12 Glyphosate. More and more farmers are going to be
13 spraying these two herbicides together. You won't be
14 able to unconfound their exposure. They'll be exposed
15 to both. How do you deal with that under EPA's
16 current system? One issue of course is you can have
17 interactions, synergistic effects, and I pointed out
18 several endpoints where 2,4-D and Glyphosate seem to
19 have a similar impact.

20 And yet there has been no assessment
21 for the combination and what harms it might cause. So
22 just briefly, benefits. There's really been no yield
23 increase with herbicide resistant crops. This was
24 recently confirmed by Natural Resources Council

1 reports. What they tend to do is reduce labor needs
2 and simplify weed control at least in the short term
3 before resistant weeds arise. And this had led to
4 greater consolidation of farmland. And then finally,
5 I'm not sure if you got this this morning from
6 Monsanto, but there's this idea that Roundup-ready
7 crops have helped reduce soil erosion on American
8 cropland by reducing tillage.

9 If you look at USDA data though, what
10 you see is that soil erosion hasn't really decreased
11 at all. It's flattened out since about 1997 over the
12 Roundup ready crop era. Whereas it actually did
13 decrease before Roundup ready crops. There are real
14 problems with this idea. And then I'll just finally
15 address conflicts of interest. It's clearly baked
16 into our regulatory system. The pesticide companies
17 conduct or commission almost all of the animal studies
18 for regulators.

19 I guess we have to live with that, but
20 what bothers me is more and more we see the company
21 scientists and their consultants interpreting the data
22 that they generate. And in this issue paper we've had
23 Greim, et al., again pesticide industry employees are
24 consultants, Kier and Kirkland on the genotox data.

1 And then Tom Sorahan was mentioned yesterday. He
2 reinterpreted the De Roos, et. al. as a consultant for
3 Monsanto Europe. I really appreciate that you folks,
4 independent scientists, are going to take, I'm sure, a
5 very critical look at all of this data, and I thank
6 you for your time.

7 **DR. JAMES MCMANAMAN:** Thank you, Mr.
8 Freese. Questions? Yes, Dr. Johnson?

9 **DR. ERIC JOHNSON:** I'm Eric Johnson.
10 Could you go back to that slide in which you mentioned
11 something about exposures being highest during '74 to
12 '87?

13 **MR. BILL FREESE:** Sure. Yeah. I kind
14 of glossed over that. I'm sorry. The De Roos, et.
15 al., 2003 study, if you remember was the combined --

16 **DR. ERIC JOHNSON:** You said cases were
17 diagnosed '79 to '86 where Glyphosate usage rates were
18 higher than what? I mean the thing came out in 1974.
19 That's the period where they were lowest. I would say
20 '79 to '86 was the period they were lowest compared to
21 a lot of years.

22 **MR. BILL FREESE:** No. actually, the
23 usage rates were actually higher in the 1980s based at

1 least on this one data point that I was able to find
2 based on USDA data.

3 **DR. ERIC JOHNSON:** Please educate me.
4 How are the usage rates different from the production?

5 **MR. BILL FREESE:** Okay. The usage rate
6 is pounds per acre per year basically. That's how
7 much Glyphosate a farmer applies per acre per year.

8 **DR. ERIC JOHNSON:** For the same area?
9 You're saying in effect that the amount used for the
10 same area of land has increased? Is that what you
11 said?

12 **MR. BILL FREESE:** It was higher in the
13 past.

14 **DR. ERIC JOHNSON:** In the past?

15 **MR. BILL FREESE:** Yeah. In the past.
16 Yeah. Exactly. The huge increase in overall
17 Glyphosate use that we've seen because of Roundup
18 ready crops is mostly due to increased acres being
19 treated which is represented in the in the bars on the
20 graph.

21 **DR. ERIC JOHNSON:** Okay.

22 **MR. BILL FREESE:** So EPA really
23 misinterpreted the data there.

1 **DR. ERIC JOHNSON:** So basically for us
2 to interpret data the exposure was higher in the
3 earlier period than in the later period. Is that
4 right? I mean if you're talking about an individual
5 using the pesticide it would have been higher in the
6 earlier periods.

7 **MR. BILL FREESE:** Exactly.

8 **DR. ERIC JOHNSON:** Okay. Thank you.

9 **DR. JAMES MCMANAMAN:** Other questions?
10 Yes, Dr. Sheppard?

11 **DR. LIANNE SHEPPARD:** Yeah. I'd like
12 to follow-up on this because this is the first data
13 I've seen. We heard yesterday that with the advent of
14 Roundup-ready crops, that EPA believes that the
15 exposure has dramatically increased because of that.
16 But I didn't see any evidence that was presented to us
17 by EPA. I appreciate that we've got something more
18 than we had yesterday. My question to you is, is it
19 really pounds per acre per year? It's more like
20 pounds per person, right? Per person day or something
21 like that.

22 **MR. BILL FREESE:** Yeah. That would be
23 preferable. Believe me, I totally agree with you. I

1 think that this may be the best proxy that's
2 available.

3 **DR. LIANNE SHEPPARD:** And based on
4 what?

5 **MR. BILL FREESE:** This is USDA National
6 Agricultural Statistics Service data. And basically,
7 they collect very detailed statistics on pesticide use
8 by crop. Including pounds per acre, number of
9 applications per year on average, and percent area
10 treated. And they've collected that since 1990.
11 Before that, unfortunately they very seldom collected
12 data. There was one data point that I was able to
13 find from 1982. I mean it surprised me to be honest.
14 I didn't think that this would be the case. But in
15 the 1980s very few farmers used Glyphosate, at least
16 in corn and soybeans.

17 That would be represented by the acres,
18 the corn plus soy acres treated. But of those who did
19 they seem to have used pretty high doses. Whether
20 that's a good proxy for exposures, perhaps you can
21 judge that better than I can.

22 **DR. LIANNE SHEPPARD:** And so you're not
23 aware of any actual data on application like at the
24 worker level? Application rates at the worker level

1 over time? I understand this is a proxy for what we
2 care about and I'm just trying to -- by asking you,
3 I'm trying to get at what we heard yesterday. Which
4 is this speculative statement that per worker exposure
5 has increased recently. And what I'm trying to get at
6 from you is whether there's any data at all other than
7 this out there in the peer reviewed literature
8 anywhere to get at that.

9 **MR. BILL FREESE:** I'm not sure if this
10 gets at it. But you notice I only ran the chart out
11 to 2001, which corresponds to the cutoff date of De
12 Roos, et. al., 2005. If you go out to the present,
13 what you see is usage rates have actually increased to
14 near 1982 levels. For soybeans, it's 1.4 pounds per
15 acre per year, and corn it's about one pound per acre
16 per year. It really has gone up. Plus, the corn plus
17 soy, the acres treated with Glyphosate has more than
18 doubled over 2001. It's like 150 million acres of
19 corn and soybeans now are treated with Glyphosate.

20 And just one other thing, I don't think
21 any of the epi studies cover any period past 2001.
22 Think about that too.

23 **DR. LIANNE SHEPPARD:** And the fact that
24 the De Roos study doesn't cover exposure after

1 baseline, which was between 1993 and 1997. All of
2 that exposure that happened between '93 and '97 to
3 2001 is misclassified in the dose response analysis.
4 And is actually presumably misclassified to put too
5 many people in the unexposed group.

6 **DR. JAMES MCMANAMAN:** Okay. Dr. Jett?

7 **DR. DAVID JETT:** This is Dave Jett. I
8 just wanted to know, you made a statement about
9 there's 15 significant trend studies. Are you talking
10 about studies that are in the issue document that are
11 currently there that's have significant trends? Or
12 are you talking about studies that would be
13 significant if they didn't use historical controls?

14 **MR. BILL FREESE:** Yeah. Let me
15 explain. Let's see, EPA had 15 studies, correct, all
16 together? Fifteen rodent studies? As I said, I think
17 four should be excluded, which would take you down to
18 11. And then I added in Kumar 2001, which brings us
19 up to 12. That's the 12 studies that I was dealing
20 with. And I could have miscounted but I believe that
21 there were 15 statistically significant trend findings
22 in those 12 studies.

23 **DR. JAMES MCMANAMAN:** Okay. Any other
24 questions? Dr. Portier?

1 **DR. KENNETH PORTIER:** I was just going
2 to make a point. On that last graph, if you added in
3 the number of ag workers who actually spray, and if
4 that trend matched the acreage trend, then I would
5 believe that the pounds per acre is the right metric.
6 But I suspect we have increased agricultural workers
7 so they're spending more time in the field spraying.
8 Their exposure is probably going up because the amount
9 is a shame, but they're doing more acreage.

10 **MR. BILL FREESE:** Okay. Yeah.

11 **DR. JAMES MCMANAMAN:** All right. Thank
12 you very much. Okay. Thank you. Next up is Dr.
13 Robert Hamilton from Valent Corporation.

14 **DR. ROBERT HAMILTON:** Good afternoon.
15 My name is Bob Hamilton and I'm here on behalf of
16 Sumitomo Chemical Company, a worldwide agrichemical
17 manufacturer. Sumitomo is a research and development
18 company that supports sound science and regulatory
19 decision-making. I'm glad to be addressing the SAP
20 today as you evaluate the carcinogenic potential for
21 Glyphosate. And to briefly review the conclusions of
22 international regulatory agencies on the potential for
23 Glyphosate to cause cancer. My message is simple. My
24 comments will be brief.

1 I have one slide to show. And I think
2 you've heard a lot of what I'm going to talk about
3 over the last few days. But I'd like to just briefly
4 go through what's happened in the very recent past.
5 We believe that an understanding of the global
6 regulatory perspective from agency scientific
7 worldwide will enable the SAP to make informed
8 decisions. Today I'll review the results from 2015
9 and 2016 carcinogenicity evaluations in the countries
10 of Australia, Canada, New Zealand, Japan, the U.S., in
11 the EU conducted by EFSA and a report by the
12 FAO/WHO/JMPR.

13 Since April of 2015, these seven
14 authorities have independently conducted thorough
15 weight of evidence assessments on the carcinogenic
16 potential of Glyphosate and have all reached the same
17 conclusion, it is unlikely that Glyphosate causes
18 cancer in humans. I've summarized key points from
19 each of the documents below. Forgive me for citing
20 directly from the documents, but I think it's
21 important to get the context. And I'll start with the
22 2015 evaluations and then I'll end with the most
23 recent evaluation that was completed by Australia in
24 September.

1 In April of 2015, Canada's Pest
2 Management Regulatory Authority (PMRA), finalized the
3 document titled *Proposed Reevaluation Decision for*
4 *Glyphosate*. Since this was a reevaluation document,
5 PMRA address many aspects of the compound not just
6 carcinogenicity. However, the conclusion in the
7 cancer assessment section states, "In consideration of
8 the strengths and limitations of the large body of
9 information on Glyphosate, which included multiple
10 short and long-term lifetime animal toxicity studies,
11 numerous in vivo and in vitro genotoxicity assays, as
12 well as a large body of epidemiological information,
13 the overall weight of evidence indicates that
14 Glyphosate is unlikely to pose a human cancer risk."

15 This is consistent with the other
16 pesticide regulatory authorities worldwide. Including
17 the most recent ongoing comprehensive reevaluation by
18 Germany, which was published for public consultation
19 in 2014. The United States EPA's cancer assessment
20 review committee reported in their 2015 document. It
21 was a thorough, detailed analysis. And they concluded
22 that in accordance with the 2005 guidelines for
23 carcinogen risk assessment, based on the weight of the

1 evidence, Glyphosate is classified as not likely to be
2 carcinogenic to humans.

3 The European Food Safety authority in
4 their November 2015 document titled Conclusions on the
5 Peer Review of the Pesticide Risk Assessment of the
6 Active Substance Glyphosate said, "Following a second
7 mandate from the European Commission to consider the
8 findings from the International Agency for the
9 Research on Cancer, IARC, regarding the potential
10 carcinogenicity of Glyphosate or Glyphosate containing
11 plant protection products.

12 In the ongoing peer review of the act
13 of substance EFSA concluded that Glyphosate is
14 unlikely to pose a carcinogenic hazard to humans. And
15 the evidence does not support classification with
16 regard to its carcinogenic potential according to the
17 regulation EC 1272/2008." In March of 2016, the Food
18 Safety Commission of Japan conducted a risk assessment
19 on Glyphosate in which they concluded major adverse
20 effects of Glyphosate were observed on reduced gain of
21 body weight, GI tract, and liver. Glyphosate had no
22 neurotoxicity, carcinogenicity, reproductive toxicity,
23 teratogenicity, and genotoxicity.

1 In May of 2016, a report of the Food
2 and Agricultural Organization of the United Nations
3 and WHO, titled, *Pesticide Residues in Food 2016*
4 *Special Session of the Joint FAO/WHO Meeting on*
5 *Pesticide Residues*, the Glyphosate section of that
6 report concludes that in view of the absence of
7 carcinogenic potential in rodents at human relevant
8 doses and the absence of genotoxicity by the oral
9 route in mammals, and considering the epidemiological
10 evidence from occupational exposures, the meeting
11 concluded that Glyphosate is unlikely to pose a
12 carcinogenic risk to humans via exposure from the
13 diet.

14 New Zealand conducted an assessment in
15 August of 2016. The overall conclusion is that based
16 on a weight of the evidence approach, taking into
17 account the quality and reliability of the available
18 data, Glyphosate is unlikely to be genotoxic or
19 carcinogenic to humans and does not require
20 classification under HSNO as a carcinogen or a
21 mutagen. And finally, Australia in September of 2016,
22 the Australia Pesticides and Veterinary Medicines
23 Authority, APVMA, finalized their report.

1 And their regulatory position was,
2 based on the nomination assessment the APVMA concludes
3 that the scientific weight of evidence indicates that
4 exposure to Glyphosate does not pose a carcinogenic or
5 genotoxic risk to humans. There's no scientific basis
6 for revising the APVMA satisfaction that Glyphosate or
7 products containing Glyphosate would be an undue
8 hazard to the safety of people exposed to it during
9 its handling or people using anything containing its
10 residues.

11 I thank you for your attention. We
12 believe that what you'll conclude from this
13 illustration is that these seven independent
14 regulatory bodies around the world have each conducted
15 their own independent weight of evidence assessments
16 of the carcinogenic potential of Glyphosate and have
17 all unequivocally determine that Glyphosate is not
18 carcinogenic. Please keep these global
19 classifications in mind as you conduct your
20 evaluation. Thank you.

21 **DR. JAMES MCMANAMAN:** Thank you.

22 Questions for this presenter? Okay. I thank each of
23 you for your presentations. At this point if we could

1 get representatives from Syngenta, Consumer's Union
2 and USDA to come up. We'll start with Syngenta.

3 **MR. MONTAGUE DIXON:** Good afternoon
4 panelists. Thank you for this opportunity to address
5 you. My name is Montague Dixon and I'm a Regulatory
6 Affairs Manager with Syngenta crop protection. I've
7 worked in the industry for 27 years. First as a
8 metabolism chemist then as an occupational and human
9 risk assessor and then for the last 10 years as a
10 regulatory affairs manager including responsibility
11 for our Glyphosate products. I'd like to today
12 commend the EPA for their efforts in preparations for
13 this panel's review.

14 I'll be making a few prepared comments
15 primarily focused on section seven, the proposed
16 collaborative research plan for Glyphosate and
17 Glyphosate formulations. The agency has performed a
18 thorough review of the extensive data of human disease
19 association studies and animal cancer and mechanistic
20 studies and has arrived at the appropriate conclusion
21 that Glyphosate is not likely to be carcinogenic at
22 doses relevant to human health risk assessment. This
23 conclusion is fully justified with similar conclusions

1 reached by other regulatory authorities around the
2 world.

3 In 2005 the EPA published the revised
4 cancer risk assessment guidelines which provide an
5 established framework for the evaluation of all
6 available and relevant science to inform the cancer
7 risk assessment. The agency's revised guidelines are
8 based upon internationally developed and accepted
9 processes under the auspices of the World Health
10 Organization's International Program for Chemical
11 Safety. Which established a framework for analyzing
12 mode of action for cancer and human relevance of
13 animal tumors that may arise from exposure to a
14 chemical.

15 This framework was carefully developed
16 and established and has been updated and enhanced and
17 has been shown to be very effective at informing human
18 health-based decisions. There are numerous chemical
19 specific examples using the IPCS framework that have
20 been published in peer reviewed literature. The
21 agency's integrated risk information system and
22 pesticide programs also use this framework as
23 described in the Cancer Guidelines in order to make
24 human health protective decisions on the suitability

1 of data from laboratory animal and other studies for
2 cancer risk assessment.

3 The proposed approach for evaluating
4 the carcinogenic potential presented in the paper
5 referenced in section seven has not undergone an
6 equivalent level of validation, acceptance, and use.
7 And while the work of Smith, et. al., may be
8 interesting it has not risen to the level of
9 scientific value of the information analysis that the
10 U.S. EPA's 2005 Cancer Guidelines and the IPCS
11 framework provide.

12 For cancer evaluation and
13 interpretation with respect to human relevance the EPA
14 in collaboration with the National Toxicology Program
15 should use the World Health Organization's mode of
16 human relevance framework that has stood the test of
17 time. It's scientifically defensible and has been
18 well validated to determine the potential for human
19 cancer risk. More specifically it's not clear what
20 benefits are offered if the U.S. EPA partners with the
21 National Toxicology Program to perform experiments
22 using various EPA approved and registered formulated
23 products that contain Glyphosate.

1 The active ingredients in the final
2 products containing those active ingredients are well
3 tested according to regulatory guidelines and are
4 thoroughly evaluated by regulatory authorities all
5 around the world including the U.S. EPA. There is no
6 discernible value to further test a product that has
7 already been fully tested and evaluated. Herbicide
8 products are highly regulated or are subject to
9 evaluation under a number of legislative mandates
10 around the world.

11 And in the United States under the
12 Federal Food Drug and Cosmetic Act, the Federal
13 Insecticide Fungicide and Rodenticide Act, the Food
14 Quality Protection Act, the Clean Water Act, and the
15 Safe Drinking Water Act. The U.S. EPA along with
16 other sister regulatory authorities around the world
17 require registrants to perform large numbers of
18 studies that are designed specifically to inform
19 efficacy and safety decisions including the potential
20 to cause disease in humans such as cancer.

21 These studies are performed by the
22 registrants under rigorous oversight using
23 internationally agreed to and validated test
24 guidelines through the Organization for Economic

1 Cooperation and development and EPA's own test
2 guidelines provided by the Office of Chemical Safety
3 and Pollution Prevention. And they're also conducted
4 under the guidance of the Good Laboratory Practice
5 Act. All of this testing and scientific evaluation is
6 required before an active ingredient such as
7 Glyphosate and formulated products that contain an
8 active ingredient can be registered or sold.

9 In addition to the extensive data the
10 registrants provide, the regulatory agencies also
11 routinely review publicly available databases,
12 including peer reviewed literature for relevant
13 information that will provide additional knowledge
14 during the review processes such as the regularly
15 scheduled registration review. The evidence for this
16 is indeed in the in-depth literature search the agency
17 performed for this present activity. The U.S. EPA
18 commits massive resources and staff time and
19 contractor dollars to fully evaluate pesticides, both
20 the active ingredient as well as the formulated
21 products including the components of the formulated
22 products.

23 These products have been on the market
24 with years or even decades of safe use and have been

1 thoroughly tested and repeatedly evaluated by the
2 agency, by EPA. The research arm of the U.S. EPA and
3 other federal agencies in collaboration with the
4 National Toxicology Program, most certainly perform
5 vital services searching for solutions to important
6 public health issues.

7 However, this is not one of those. It
8 would be an inefficient use of the valuable resources,
9 both time and money, of the EPA and the NTP to perform
10 additional experiments on complex mixtures that are
11 the formulated products. Which would likely be
12 uninterpretable and have already been well tested and
13 thoroughly evaluated. Furthermore, there's a large
14 number of formulated products that contain Glyphosate.
15 Many of these products also contain other active
16 ingredients and the co-formulates often referred to as
17 the inerts.

18 There are more than 1,500 potential
19 inerts that are approved for use in these products.
20 Additionally, any new inert product, before it could
21 be used in a pesticide product, has to go through a
22 rigorous safety evaluation. I encourage the EPA to
23 reconsider their proposed collaboration with the
24 National Toxicology Program on the basis that such a

1 program would generate data that would be redundant to
2 the massive amount of data already available for
3 evaluating Glyphosate and other products that contain
4 Glyphosate.

5 These products have been fully
6 evaluated and approved for use as part of the rigorous
7 registration process. One only has to look at the
8 docket for this current SAP to see the massive amount
9 of data that's already available on Glyphosate and its
10 associated formulation. Thank you.

11 **DR. JAMES MCMANAMAN:** Thank you.
12 Questions? All right. Thank you very much. Next up
13 is Dr. Sheryl Kunickis from USDA.

14 **DR. SHERYL KUNICKIS:** Thank you very
15 much. My name is Sheryl Kunickis. I'm the Director
16 in the Office of Pest Management Policy the director
17 in the office of pest management policy and I
18 represent the U.S. Department of Agriculture. I
19 appreciate the opportunity to be here today. And I
20 thank each one of you for coming and for your careful
21 consideration of this SAP. I also want to thank Dr.
22 Jack Housenger. In his opening comments yesterday,
23 when he referenced and he acknowledge the value of

1 Glyphosate to U.S. agriculture, he hit it right on the
2 nose.

3 And I also wanted to thank two of the
4 public speakers. Mr. Hoyer who grows soybeans and Mr.
5 Barbre who grows corn. Frankly, there's not much I
6 can say because they bring it back. They take the
7 science and everything that we know about Glyphosate
8 and they translate it into real world application.
9 And as they use crop protection tools, they take into
10 account how it can be used on their farming system,
11 how it impacts the crops that they use, how it
12 controls the weeds in this case. And they also look
13 at how it will affect their families.

14 And I think that's a really important
15 point. So now I'll go back on script. And I want to
16 just remind everybody, because I think you've heard
17 much of this many times today, Glyphosate is the most
18 important pesticide for U.S. agriculture. And USDA is
19 very supportive of EPA's conclusion that Glyphosate is
20 not likely to be carcinogenic to humans. Over the
21 past decades, Glyphosate has been extensively studied
22 and tested. And we applaud EPA's thorough and
23 dispassionate weight of evidence analysis of this
24 large volume of data and information.

1 The conclusion reached by EPA that
2 Glyphosate is not a human carcinogen is shared by
3 other major risk-based assessments recently conducted
4 by regulatory bodies that you just heard about. And I
5 won't list all of them, but we certainly acknowledge
6 each one of those authorities. Glyphosate has been
7 well-known since the mid-1990s because it has been the
8 primary herbicide used in genetically engineered or GE
9 corn, soybeans, and cotton. It has been termed, and
10 you heard this earlier, a once in a lifetime herbicide
11 due to its low toxicity and its flexibility for use.

12 The benefits of Glyphosate include
13 excellent crop safety in GE crops, a broad range of
14 weed control, applicability in minimal and no till as
15 well as conventional tillage production, and
16 flexibility and economy of use. The typical cost for
17 Glyphosate averages four to five dollars per acre.
18 Planting GE crops has also led to the increased
19 adoption of conservation and no till production
20 practices. These conservation tillage practices have
21 many positive environmental impacts including enhanced
22 soil quality and reduced soil erosion.

23 Glyphosate provides consistent weed
24 control and simplified weed management in these

1 cropping systems. Glyphosate is important not only as
2 a weed management tool in GE systems. The herbicide
3 has been used safely in the U.S. since the seventies
4 for general weed control and as part of an integrated
5 control program in orchard crops, specialty crops, and
6 aquatic or riparian lands and range lands. Glyphosate
7 has no soil activity, which allows flexibility of use
8 in high cash value cropping systems and in vegetation
9 management.

10 It can be applied in many ways
11 including spot treatments and as directed application.
12 Many of these systems have limited options for weed
13 control. And weed management practices have not been
14 selected for weeds that are resistant to Glyphosate.
15 Three examples of situations where Glyphosate is
16 important include -- and some of these you may not
17 have thought of -- Glyphosate is used to control
18 emerged weeds prior to planting vegetable or fruit
19 crops. Weed control prior to crop emergence is needed
20 because few herbicides are registered for use after
21 the crop emerges.

22 And growers often rely on tillage or
23 hand labor for weed control. And I'll go off script.
24 I just recently saw a report where manual hand pulling

1 is becoming a little more common. And to many of us
2 who are perhaps a little bit older we may remember
3 doing that and it's not very much fun. The second
4 point, Glyphosate is used to control emersion and
5 floating weeds such as cattails and water hyacinth in
6 aquatic systems. These weeds if not managed can
7 impede water flow, decreasing water supplies needed
8 for irrigation.

9 A problem that can threaten or
10 exacerbate drought conditions or increase the cost of
11 irrigation. In other situations, the weeds can cause
12 water to stagnate or pond which provides habitat for
13 mosquitoes to breed. Thus, effective weed control is
14 an important component of integrated pest management
15 for mosquitoes and mosquito-borne diseases.
16 Glyphosate is used as a selective treatment to control
17 invasive annual and woody plants in riparian habitats
18 of on range lands. If not managed these plants can
19 create a monoculture reducing species diversity and
20 threatening resources and endangered species.

21 While growers do face new challenges
22 with Glyphosate-resistant weeds in cotton, soybean
23 and, to a lesser extent, corn and other GE crops,
24 Glyphosate continues to control many weeds that occur

1 in production agriculture. And thus, is an important
2 tool to manage weeds with a diversity weed management
3 system in these crops. In addition, Glyphosate's low
4 toxicity is an important benefit compared to some
5 other alternatives which is reinforced by EPA's
6 conclusion in the issue paper that Glyphosate is not
7 likely to be carcinogenic to humans.

8 USDA supports this determination and
9 looks forward to the SAP's review of the EPA's
10 findings. Thank you.

11 **DR. JAMES MCMANAMAN:** Thank you.

12 Questions? Yes, Dr. Sheppard?

13 **DR. LIANNE SHEPPARD:** Yeah. I'm going
14 to probably reveal more than I want to with this
15 question. But certainly, there are pesticides and
16 herbicides that are used widely in agriculture and
17 have been declared carcinogenic, is that correct?

18 **DR. SHERYL KUNICKIS:** Yes, ma'am.

19 **DR. LIANNE SHEPPARD:** Yeah. Okay. I
20 mean, I appreciate what you were telling us about the
21 importance of it, but I'm not clear how that has a
22 bearing on the decisions that we're tasked here to
23 make. Since these products can still be used even if
24 they're declared carcinogenic.

1 DR. SHERYL KUNICKIS: I'm not sure they

2 --

3 DR. LIANNE SHEPPARD: But didn't you
4 just tell me there are many pesticides and herbicides
5 that are approved for use even though they are --

6 DR. SHERYL KUNICKIS: I thought you
7 meant historically. In the past.

8 DR. LIANNE SHEPPARD: No. I mean even
9 currently. I mean Clorpyrifos is still used in
10 agriculture. Right?

11 DR. SHERYL KUNICKIS: It's not.

12 DR. LIANNE SHEPPARD: It's not? Okay.
13 See I'm showing my naiveté. Which is probably why I'm
14 on the panel because I don't know too much about
15 pesticides.

16 DR. SHERYL KUNICKIS: Yeah. I'm not
17 aware where we're using carcinogenic pesticides right
18 now frankly. I'm not aware of that. I thought you
19 were talking about throughout history; I'm fairly sure
20 EPA has taken those off the market.

21 DR. LIANNE SHEPPARD: So the
22 implication by what we're hearing is if there's a
23 decision that this is carcinogenic it could go off the
24 market? Is that the idea?

1 DR. SHERYL KUNICKIS: That's a call the
2 EPA would have to make. Yeah. I'll let Dana speak to
3 that.

4 MS. DANA VOGEL: Hi. This is Dana
5 Vogel, Health Effects Division. There are a lot of
6 different pesticides that have different cancer
7 classifications. Some have quantitative analysis,
8 like quantitative assessments of cancer risk. Other
9 don't. And those assessments are done as part of the
10 risk assessment. So just for an example, Chemical X,
11 any pesticide, if it was declared a likely carcinogen
12 -- I'll just make that up, this is totally
13 hypothetical -- and we gave it a Q-1 star we would do
14 an assessment to determine what we would estimate the
15 cancer risk to be.

16 And it would be determined, based upon
17 policy, whether that is above or below a level of
18 concern. Does that answer your question?

19 DR. LIANNE SHEPPARD: Yes. The
20 decision about whether this is carcinogenic or not
21 then generates the next steps with the risk
22 assessment, which then generates what can be done with
23 respect to how it's used?

24 MS. DANA VOGEL: Yes. That's right.

1 **DR. JAMES MCMANAMAN:** Other questions?
2 Okay. Thank you very much. Okay. As I mentioned,
3 we're trying to provide the panel with sufficient
4 amounts of time to discuss the charge questions. With
5 that in mind, I'm looking for anyone else who might be
6 here who is scheduled to present tomorrow morning. If
7 they're here tonight we can try to fit you in.
8 Someone from the Consumer's Union, Michael Hanson if
9 he's here, Moms Across America, there are three people
10 if any one of them are here?

11 The Immediate Life and Beyond
12 Pesticides, Nichelle Harriott. I understand Nichelle
13 is here. We're counting on you to show. Anyone from
14 AVAAZ -- A-V-A-A-Z or Peter Infante? Okay.

15 **DR. ERIC JOHNSON:** I hope you'll give
16 me an opportunity to ask questions of some of the
17 industry people like DuPont, FMC, and BSF. Because I
18 have an important question.

19 **MS. NICHELLE HARRIOTT:** Okay. All
20 right. Nichelle?

21 **MS. NICHELLE HARRIOTT:** Thank you.
22 These comments will be brief as I'm sure many of us
23 have had a long day and would like to get home. I

1 just want to thank the panel for this opportunity to
2 present oral remarks.

3 My name is Nichelle Harriott. I am the
4 Science and Regulatory Director at Beyond Pesticides.
5 These oral comments or a summary of written comments
6 submitted to the docket in October.

7 The panel's review of the carcinogenic
8 potential of Glyphosate comes at a time when
9 Glyphosate use is at an all-time high. Over 280
10 million pounds of Glyphosate are estimated to be used
11 in the U.S. as of 2014 on over 100 crops and other
12 non-agricultural use sites. The agricultural uses of
13 Glyphosate are tied mostly to genetically engineered
14 crops that are engineered specifically to be tolerant
15 of the herbicide.

16 Since the most cultivated crops in the
17 US for Glyphosate tolerant corn and soybeans which
18 also make up the cornerstone of ingredients common to
19 the American diet it is critical that a comprehensive
20 human health assessment with a special review of
21 carcinogenic potential is completed with review of all
22 available evidence as we have heard today there is
23 conflicting conclusions regarding Glyphosate's

1 carcinogen which has elevated the controversy
2 surrounding continued use of this chemical.

3 IARC found that there is sufficient
4 evidence of carcinogenicity in experimental organisms
5 to classify Glyphosate as probably carcinogenic to
6 humans. Based on the published publicly available
7 independent scientific literature IARC also found
8 sufficient mechanistic evidence in animals for
9 genotoxicity and oxidative stress.

10 Mechanistic evidence and other relevant
11 data are useful in providing evidence of
12 carcinogenicity and also help in assessing the
13 relevance and importance of findings of cancer in
14 animals and in humans. Possible mechanisms by which
15 substances increase the risk of cancer may include
16 changes in physiology, changes at the cellular level,
17 and changes at the molecular level, including
18 genotoxicity.

19 To this end, studies have shown that
20 Glyphosate exposure does indeed induce DNA and
21 chromosomal damage in mammals and in human and animal
22 cells in vitro, but studies find an increase in blood
23 markers of chromosomal damage. Glyphosate has also
24 induced a positive trend in the incidence of the renal

1 tube carcinoma in male mice. Studies show that
2 Glyphosate increased pancreatic islet adenoma in male
3 rats. Glyphosate, Glyphosate formulations, and the
4 degraded AMPA induce oxidative stress in rodents and
5 in vitro.

6 Most importantly however, is the need
7 to review Glyphosate formulations which are the most
8 relevant to assessing carcinogenicity. The public,
9 through exposures on their farms, gardens, food and
10 playgrounds, are exposed to Glyphosate formulations
11 commonly known as Roundup. And not just the single
12 active ingredient.

13 It is important to note here that IARC
14 reviewed Glyphosate and the formulated products, which
15 are the most and only relevant substances for
16 evaluating Glyphosate risks to human health. A number
17 of published studies performed with Glyphosate-based
18 formulations of unknown composition, find positive
19 results for genotoxicity when tested in vitro and in
20 vivo. The co-formulate, Polyethoxylated tallow amine
21 or POEA, has been shown to be more toxic than active
22 substance Glyphosate for several toxicological
23 endpoints, namely acute reproductive and developmental
24 toxicity.

1 And there is evidence of DNA damage in
2 vitro at high doses. An assessment of this substance,
3 as it relates to Glyphosate's carcinogenic potential,
4 must be conducted in order to clarify the
5 genotoxicity, carcinogenicity, reproductive
6 developmental toxicity, and even endocrine disrupting
7 potential of this co-formulate.

8 EPA notes in its issue paper that it's
9 collaborating with the National Toxicology Program to
10 evaluate Glyphosate in product formulations and the
11 differences in formulation toxicity. However, it is
12 safe to assume that the findings of this collaboration
13 will not be available until after the registration
14 review of Glyphosate is complete. Meaning this
15 important information regarding formulation toxicity,
16 in our opinion, will continue to be a data gap for
17 Glyphosate putting people at risk.

18 Since Glyphosate formulations contain
19 numerous other ingredients EPA must investigate the
20 totality of these formulations and their carcinogenic
21 potential as these chemical mixtures have the most
22 relevance to human health. EPA has been urged
23 numerous times by my organization and others to
24 evaluate chemical mixtures. Especially those commonly

1 formulated together as part of the agency's risk
2 assessment process.

3 The scientific database shows that
4 Glyphosate formulated products kill human cells,
5 particularly embryonic and placental cells, even at
6 low concentrations. Studies have found that the
7 formulated Glyphosate products reduce human placental
8 cell viability at least two times more efficiently
9 than Glyphosate itself, disrupts aromatase activity
10 and MRNA levels, and induces a dose-dependent
11 formation of GNA adducts in the kidney and liver of
12 mice. A process that can potentially lead to
13 carcinogenesis.

14 As part of this review process, we urge
15 the EPA to make publicly available all data reviewed.
16 If the information and studies submitted by the
17 registrants is the basis for conflicting carcinogenic
18 conclusions, then EPA must publicly release these
19 studies so that they can be independently peer
20 reviewed.

21 The science of Glyphosate is expanding
22 and public concern is increasing. EPA must therefore
23 be very transparent in how it has come to its
24 conclusion that Glyphosate is not likely to be

1 carcinogenic to humans, given the evidence found in
2 independent peer reviewed studies.

3 We urge EPA and this panel to be
4 diligent in examining all independent peer reviewed
5 data regarding the carcinogenic potential of
6 Glyphosate and its formulations, and to take a
7 precautionary approach to potential risks. We believe
8 Glyphosate formulations, to which farmers and
9 consumers are most exposed, are the most relevant for
10 evaluating risks to human health. Finally, we
11 encourage full transparency on this evaluation so that
12 the public confidence can be assured during this
13 process. I thank you for your time and consideration.

14 **DR. JAMES MCMANAMAN:** Thank you.

15 Questions for Dr. Harriott? Okay. Thank you very
16 much. I'm going to go down the list a little farther.
17 Anyone from Bayer Crop Science here? Organic
18 Consumers Association? American Sugar Beet Growers?
19 Natural Resources Defense Council? Okay. We have a
20 few more minutes. Dr. Johnson had some additional
21 questions for some of the presenters earlier today.
22 If they're still here we have some questions for you.

23 **DR. ERIC JOHNSON:** My question is for
24 representatives from the major companies like DuPont,

1 VSF, and FMC. I mean companies like that. Because
2 the question we asked before was whether these
3 companies manufacture Glyphosate and the answer we got
4 was no. And I think we asked the wrong question.
5 What we would like to know is how do these companies
6 handle Glyphosate. I mean how as a business? Do they
7 formulate it, are they distributors? Can each of them
8 tell us how they handle Glyphosate, please?

9 **DR. JAMES MCMANAMAN:** Do we have
10 representatives from any of those companies here?

11 **DR. JACOB VUKICH:** Yes. This is Jake
12 Vukich from DuPont. As I mentioned before DuPont
13 holds end use product registrations. We do not
14 manufacture Glyphosate technical. And in sourcing our
15 end use product registrations, we do not manufacture
16 those end use products either. We don't have any
17 DuPont folks who are exposed to the manufacture of the
18 formulations or the technical.

19 **DR. ERIC JOHNSON:** Obviously, you have
20 interest in Glyphosate as a company. What do you do
21 with Glyphosate?

22 **DR. JACOB VUKICH:** What we'll do is we
23 will see the formulated products. And then we'll get
24 them registered under DuPont registration, get the

1 appropriate labels for those products and then market
2 them into the corn, soybean, whatever marketplace
3 would need our products.

4 **DR. ERIC JOHNSON:** So you do have
5 workers who handle this stuff as a wholesale?

6 **DR. JACOB VUKICH:** The DuPont workers
7 that would handle Glyphosate really would be our field
8 development folks who may put out trials. And they
9 fall into the same category as agricultural workers,
10 not manufacturing workers.

11 **DR. ERIC JOHNSON:** So you would receive
12 this product already packaged from companies like
13 Monsanto?

14 **DR. JACOB VUKICH:** In some instances we
15 do. And I can't go any further beyond that because
16 that becomes confidential business information.

17 **DR. ERIC JOHNSON:** So you don't receive
18 the powder itself that you can --

19 **DR. JACOB VUKICH:** No. We do not. No.
20 I will say, though, what we consider Glyphosate to be
21 from our perspective is a third-party product in that
22 we're not a basic registrant of Glyphosate. In
23 evaluating what we would do with third party products,
24 we conduct internal stewardship reviews. we do kind

1 of what we call internal peer reviews of the
2 evaluations and risk assessments that are conducted by
3 regulatory agencies.

4 We do have our toxicology folks, our
5 product chemistry folks, our residue chemistry folks
6 take a look at what's available for Glyphosate and to
7 confirm that it falls within our internal stewardship
8 guidelines. And that where we're registering the
9 product is a legal use and is already labeled by EPA.

10 **DR. ERIC JOHNSON:** Right. But you
11 mentioned that it's not all of the product that you
12 receive already packaged. That's what you just
13 answered me. So how do you receive the rest?

14 **DR. JACOB VUKICH:** Again, some of that
15 is confidential business information and is contained
16 in what we call our confidential statement of
17 formulas. I really don't want to release that in a
18 public forum.

19 **DR. ERIC JOHNSON:** Okay. Next. Same
20 question. And your company is what?

21 **MR. ANDY HEDGECK:** I'm with FMC. I'm
22 relatively new in my role within FMC and don't have
23 all the details of what we do in terms of third party
24 purchases or manufacturing that came from our

1 Cheminova acquisition in 2015. My understanding, if I
2 can speak across FMC and probably the industry is we
3 source it from other companies, we can produce it
4 ourselves or we could also toll manufacturing it and
5 having someone else produce it for us.

6 I can't speak to the specific questions
7 that you're asking about FMC in particular, but would
8 be open to having that conversation with you at
9 another time when I would have that detail.

10 **DR. ERIC JOHNSON:** I was just curious.
11 These are well-known companies you're working for, and
12 you seem to have difficulty telling us what your
13 company does with this product. I mean, it seems to
14 me rather unusual. Just a simple question. What does
15 your company do or how does it receive it? I don't
16 see what the secret is. I mean it's a question of
17 we're just trying to identify workers that's going to
18 be studied. That's the underlying reason why I'm
19 asking these questions.

20 **MR. ANDY HEDGECOCK:** Understood, and my
21 point earlier during my presentation. I think talking
22 to previous company epidemiologists who have looked at
23 worker exposure. John Acquavelle I think spoke to
24 this in his history on looking at it from A

1 perspective of the manufacturing of Glyphosate and the
2 difficulties in it. And I don't want to speak for
3 him. I listened to him that day as you did as well.

4 **DR. ERIC JOHNSON:** Right.

5 **MR. ANDY HEDGECK:** So I think that
6 would be the best conversation for you to have.

7 **DR. ERIC JOHNSON:** I heard about it. I
8 mean he spoke to us. He answered our question. I'm
9 trying to find out about the other companies that use
10 Glyphosate.

11 **MR. ANDY HEDGECK:** I understand.

12 **DR. JAMES MCMANAMAN:** All right. Well
13 I think that they've answered it to the best of their
14 ability. Since there are no other presenters here I
15 thank you gentlemen for coming back up for additional
16 questions. And we'll begin tomorrow morning at 8:30.

17 **[WHEREAS THE MEETING WAS ADJOURNED FOR**
18 **THE DAY]**

19 * * * * *

20

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

DAY 3

MR. STEVEN KNOTT: Today's Session of the FIFRA Scientific Advisory Panel reviewing EPA's evaluation of the carcinogenic potential of glyphosate. This morning we're going to be continuing our public comments session. And at this point I want to go ahead and turn it over to Dr. McManaman, our chair for the session.

DR. JAMES MCMANAMAN: Good morning on this brisk Thursday morning. What we'll do, as we always do, is go around and have the panel reintroduce themselves. I'm Jim McManaman. I'm a professor at University of Colorado.

DR. MARION EHRICH: Marion Ehrich from Virginia Tech. Pharmacology, toxicology, permanent panel member.

DR. JOSEPH SHAW: I'm Joe Shaw, permanent panel member. I'm a toxicologist from Indiana University.

DR. KENNY CRUMP: Kenny Crump. I'm invited temporary panel member. I'm a statistician.

1 **DR. LAURA GREEN:** Good morning. Laura
2 Green, chemist and toxicologist and temporary special
3 government employee, I guess.

4 **DR. ERIC JOHNSON:** Eric Johnson,
5 Department of Epidemiology, University of Arkansas for
6 Medical Sciences.

7 **DR. BARBARA PARSONS:** I'm Barbara
8 Parsons from the Division of Genetic and Molecular
9 Toxicology at FDA's National Center for Toxicological
10 Research.

11 **DR. ARAMANDLA RAMESH:** Good morning.
12 My name is Aramandla Ramesh. I'm from Meharry Medical
13 College.

14 **DR. KENNETH PORTIER:** Good morning.
15 I'm Ken Portier. I'm a biostatistician and Vice
16 President of The Statistics and Evaluation Center at
17 the American Cancer Society. And in full disclosure,
18 I am Dr. Christopher Portier's older and, I like to
19 say, smarter, brother.

20 **DR. JAMES MCMANAMAN:** Better looking
21 too? Better looking too?

22 **DR. KENNETH PORTIER:** Oh, I can't claim
23 that. I'm sorry.

24 **DR. JAMES MCMANAMAN:** Ok.

1 **DR. KENNETH PORTIER:** But I would say,
2 Chris and I have very similar degrees in biostatistics
3 from UNC Chapel Hill, whereas he went into NIEHS, and
4 I spent 27 years at the University of Florida in
5 agriculture and environmental research. I'm very
6 familiar with agricultural practice. And then the
7 last 11 years working in public health at the American
8 Cancer Society. And I've done a few of these SAPs so
9 I bring some experience to the panel. Thank you.

10 **DR. LUOPING ZHANG:** Hi, I'm Luoping
11 Zhang and my job professor in toxicology, in the
12 Division of Environmental Health Sciences at the
13 University of California, Berkeley.

14 **DR. DANIEL ZELTERMAN:** Good morning.
15 I'm Dan Zeltermann, professor of Biostatistics at Yale.
16 I do work in cancer studies and cancer clinical
17 trials.

18 **DR. EMANUELA TAIOLI:** Good morning.
19 I'm Emanuela Taioli, professor at Mt. Sinai School of
20 Medicine, and I'm a cancer epidemiologist.

21 **DR. LIANNE SHEPPARD:** Good morning. My
22 name is Lianne Sheppard and I'm a biostatistician from
23 the University of Washington. And I'm also a member
24 of the Statutory Clean Air Scientific Advisory

1 Committee. So, like my colleague Ken, I also have a
2 fair amount of experience. But never with a FIFRA
3 panel so it's a little different.

4 **DR. DAVID JETT:** Dave Jett, director of
5 the NIH Chemical Defense program at NIH, National
6 Institutes of Health. Adjunct professor, School of
7 Medicine, University of Maryland, Toxicology.

8 **DR. JAMES MCMANAMAN:** Okay. As Steve
9 mentioned we have a few more public commenters to go
10 through this morning. If I can have the
11 representatives from the Consumer Union, Mom's Across
12 America, The Immediate Life, and AVAAZ, A-V-A-A-Z. If
13 you could come up to the podium, we'll assemble you
14 together for your presentations

15 Okay. So first up there is Dr. Michael
16 Hansen from the Consumers Union.

17 **DR. MICHAEL HANSEN:** Yes, thank you for
18 the opportunity to address the SAP on the topic of the
19 carcinogenic potential of glyphosate. My name is
20 Michael Hansen, I'm a senior scientist at Consumers
21 Union, the policy and advocacy arm of Consumer
22 Reports.

23 This assessment of the carcinogenicity
24 of glyphosate is needed, given that total use of

1 glyphosate in the U.S. is estimated at 280 to 290
2 million pounds in 2014, a 250-fold increase in usage
3 compared to 1974, when it was first introduced, and a
4 10-fold usage since 1993, when it was last reviewed by
5 EPA.

6 We urge the SAP to tell EPA that their
7 present assessment of the carcinogenic potential of
8 glyphosate is inadequate and needs to be redone. We
9 feel that if this reassessment is done properly, the
10 EPA would make a conclusion similar to that of the
11 IARC, e.g. that glyphosate is a probable human
12 carcinogen.

13 For Charge Question 1, we agree with
14 EPA's call for more data on formulated products
15 containing glyphosate, particularly given the evidence
16 that surfactants such as POE-tallowamine may make the
17 formulated product much more toxic, as noted by a
18 study submitted to USD in 1997, and by the conclusion
19 of a 2015 European Food Safety Authority report that
20 noted: "Compared to glyphosate, a higher toxicity of
21 the POE-tallowamine was observed on all endpoints
22 investigated." And noted that "genotoxicity,
23 long-term toxicity and carcinogenicity,
24 reproductive/developmental toxicity and endocrine

1 disrupting potential of POE-tallowamine should be
2 further clarified."

3 This information led the European Union
4 member states, in July 2016, to ban POE-tallowamine
5 from glyphosate-based products. In contrast,
6 POE-tallowamine is still allowed for food and nonfood
7 uses in the U.S. and its use could be putting people
8 at risk. We urge the SAP to explicitly support the
9 call for more data on formulated glyphosate products
10 and to incorporate these data into the carcinogenicity
11 risk assessment.

12 Charge question 2 on the epi studies.
13 We disagree with the EPA's conclusions that "the
14 association between glyphosate and the risk of NHL
15 cannot be determined based on the available" for many
16 of the same reasons as laid out by Dr. Portier, Dr.
17 Sass and Bill Freese in their comments to the SAP.

18 EPA should not have given more weight
19 to the Agricultural Health Study by classifying it as
20 high quality, given the problems that 1) the median
21 follow-up time is 6.7 years may not be enough to
22 detect NHL; the latency could be up to 20 years. 2)
23 Only 61 of the 71 NHL cases, with some exposure to
24 glyphosate, were considered in the EPA analysis of

1 cumulative exposure by terciles, making it more
2 difficult to find a significant effect. And 3) the
3 use of a 95 percent confidence interval, rather than a
4 90 percent confidence interval.

5 Use of a 90 percent CI would be more
6 appropriate as it is more like conducting a one-tailed
7 statistical test at a significance level of .05. A
8 one-tailed statistical test is a more appropriate for
9 a toxic chemical such as glyphosate, which can be
10 assumed to have only a harmful effect and not a
11 healthful effect, as a two-tailed statistical test
12 implies.

13 As for the argument of the highest risk
14 measures are coming from studies where there was a
15 lower exposure to glyphosate, Bill Freese, yesterday
16 presented compelling evidence of just the opposite.
17 E.g., higher glyphosate usage rates in pounds per acre
18 per year, and thus exposure to pesticide applicators
19 in the 1980s, compared to the 1990s, which correlates
20 with a higher estimates of the NHL risk in the De Roos
21 et al. 2003 study, based on data from '79 to '86,
22 compared to De Roos et al. 2005, based on data from
23 '93 to 2001.

1 The drastic increase in glyphosate use
2 in the late 90s through 2000s, come as a result in
3 drastic expansion in the acreage of corn, soybeans and
4 cotton that are treated with glyphosate as a result of
5 genetically engineered glyphosate tolerant crops,
6 which allowed more farmers to apply glyphosate than in
7 the 80s.

8 The three meta-analyses that link
9 glyphosate with NHL, Schinasi and Leon, IARC 2015, and
10 Chang and Delzel (2016), all have odds ratios of over
11 1.0 with lower-bound CIs at 1.0 or above. And all
12 found at least on statistically significant
13 association between glyphosate usage and NHL. Even
14 the industry-sponsored meta-analysis characterized
15 their finding as "marginally significant positive
16 meta-RRs for the association between glyphosate use
17 and the risk of NHL and multiple myeloma.

18 The EPA's 2005 Guidelines for
19 Carcinogenic Risk Assessment define "suggestive
20 evidence of carcinogenic potential" as, in part
21 "evidence of a positive response in studies whose
22 power, design, or conduct limits the ability to draw a
23 confident conclusion." The data from the epidemiology
24 studies are consistent; relative risks are positive,

1 meta-analyses are positive, significant in the
2 meta-analyses, and consistent with the animal
3 evidence -- see charge question 3.

4 However, chance, bias and/or
5 confounding cannot be ruled out. IARC looked at the
6 data and concluded there was "limited evidence of
7 carcinogenicity in humans," which is defined as "a
8 positive association has been observed between
9 exposure to the agent and cancer for which a causal
10 interpretation is considered to be credible, but
11 chance, bias or confounding could not be ruled out
12 with reasonable confidence." This would be consistent
13 with EPA's "suggestive evidence of carcinogenic
14 potential."

15 In conclusion. The SAP should
16 recommend that EPA change their view of the
17 epidemiological studies to "suggestive evidence of
18 carcinogenic potential," since their present
19 conclusion gives no weight to the human evidence at
20 all in their final evaluation.

21 And then finally, for Charge Question
22 3, on the Animal Carcinogenicity Studies. There were
23 nine rat carcinogenicity studies and six mouse
24 studies, with four of the rat studies showing

1 treatment-related effect in various organs, including
2 thyroid tumors. And four of the mice studies showing
3 treatment effects in renal tumors, hemangiosarcomas
4 and malignant lymphomas. In all the cases, EPA
5 considered the data were not treatment related, in
6 violation of their own 2005 Guidelines for
7 Carcinogenicity Risk Assessment.

8 For both the rat and mouse studies, EPA
9 rejected positive findings "due to lack of pairwise
10 statistical significance, lack of monotonic dose
11 response, absence of preneoplastic or non-neoplastic
12 lesions, no evidence of tumor progression, and/or
13 historical control." Or evidence found only at high
14 doses in absence of excess toxicity. Each of the
15 arguments EPA uses to dismiss positive findings are
16 not valid.

17 First, the Guidelines say that a
18 significant trend test is sufficient --

19 **DR. JAMES MCMANAMAN:** Dr. Hansen, you
20 requested five minutes and you're well over that now.

21 **DR. MICHAEL HANSEN:** Yes, I just have a
22 minute or two and I'll be done.

23 So first the trend test is sufficient
24 for a positive finding; a significant pairwise test is

1 not needed. Second, there is no mention in the
2 guidelines of the need for a monotonic dose response.

3 The 2014 National Academy report on
4 nonmonotonic dose-response, for endocrine disruptors,
5 recommended that EPA consider nonmonotonic
6 dose-response relationships. Some in vitro and in
7 vivo animal studies have suggested that glyphosate may
8 interfere with hormonal activity. And scientists,
9 including endocrine experts, have stated that proper
10 testing of glyphosate for endocrine activity is
11 needed.

12 Third, dismissing significant findings
13 which lack preneoplastic or non-neoplastic lesions
14 makes the assumption that tall mechanisms, by which a
15 chemical induces tumors in animals, will involve
16 enough stages such that there would be a historically
17 identifiable preneoplastic lesion from which the final
18 tumors are formed. As Dr. Portier has noted, this
19 assumption has not been shown to be true.

20 Fourth, EPA uses an outside historical
21 control dataset to dismiss a positive finding in one
22 study and fails to use an equally valid historical
23 control data set to assess the importance of renal
24 tumors in another study.

1 EPA should use concurrent controls,
2 where possible, as the Guidelines notes.
3 In addition, as Dr. Portier notes, EPA used a
4 historical control dataset from animals that lived 24
5 months to compare a response in a study that only
6 lasted 18 months. If EPA had used the methodology,
7 used by the National Toxicology Program, the Poly-3
8 adjustment to adjust the length of time an animal is
9 in a study --

10 **DR. JAMES MCMANAMAN:** Dr. Hansen, I
11 think we're going to have it to draw it to a close
12 here.

13 **DR. MICHAEL HANSEN:** Okay.

14 **DR. JAMES MCMANAMAN:** You've provided
15 the committee with the --

16 **DR. MICHAEL HANSEN:** Yes. We just ask
17 that the animal studies should be redone with the
18 proper assumptions being followed from the Cancer
19 Guidelines.

20 **DR. JAMES MCMANAMAN:** Okay. Thank you.
21 Any questions for Dr. Hansen? Yes, Dr. Sheppard?

22 **DR. LIANNE SHEPPARD:** Yeah. You didn't
23 speak to this, but since you represent the Consumers

1 Union, maybe you can help me understand this. How
2 important is the residential use of glyphosate?

3 **DR. MICHAEL HANSEN:** Well, the use
4 is -- about one-third of the total use is actually
5 non-agricultural. And that would include all home and
6 garden uses, but that also includes uses on rice and
7 whey, et cetera. There isn't a breakdown there, but
8 it's about one-third of the total when you look at EPA
9 data.

10 **DR. LIANNE SHEPPARD:** And is
11 there -- no, I guess that's it. Thank you.

12 **DR. JAMES MCMANAMAN:** Other questions?
13 Okay. Thank you very much.

14 Next, we have Moms Across America,
15 Laura Mayer, Marghi Barnes, and Kathy Blum. Are
16 you here? Okay.

17 You have 15 minutes and I'll give you a
18 little leeway, but as Dr. Hansen found out, we can't
19 go too far. Okay.

20 **MS. KATHY BLUM:** My name is Kathy Blum.
21 I'm a concerned mother, a holistic nutrition educator
22 and a member of Moms Across America. Thank you to the
23 distinguished panel of the FIFRA SAP, for hearing the
24 following comments on behalf of Moms Across America; a

1 national coalition of unstoppable moms who reaches
2 over 300,000 like-minded moms per week. We are here
3 today to request your serious consideration of the
4 information that we present; also, of your obligation
5 to protect the American people and life on Earth.

6 As a holistic nutrition educator, I
7 work with clients whose health improves when they and
8 their families switch from food grown with toxic
9 herbicides to organic diets.

10 I present to you three points to
11 consider in your assessment of glyphosate, and whether
12 or not it is a safe chemical to allow on our food. My
13 first point. Glyphosate is everywhere. It's in our
14 air, our water, our soil, our food, our beverages.
15 It's in mother's breastmilk. Children are exposed to
16 glyphosate in many areas; playgrounds, parks, ball
17 fields and their own backyards.

18 The EPA allows glyphosate to be sprayed
19 on our food and feed crops. And residues are allowed
20 at .2 to 400 parts per million. These levels have
21 been shown to be harmful in many animal studies.
22 While many would like to ignore it, the fact is the
23 tests initiated by Moms Across America, and many other

1 groups now, have proven the widespread contamination
2 of glyphosate in our food and our bodies.

3 Glyphosate has been found in tap water,
4 our children's urine, mother's breastmilk, PediaSure
5 feeding tube liquid, cow's milk and recently in many
6 foods at shocking levels. For example, Lucy's
7 gluten-free cookies; 452 parts per billion. Lay's
8 kettle potato chips; 452 parts per billion. Doritos;
9 670 parts per billion. Honey Nut Cheerios; 670 parts
10 per billion. Cheerios; 1125 parts per billion. What
11 did you have for breakfast this morning? These levels
12 are unacceptable. Any amount of glyphosate is
13 unacceptable.

14 Studies have shown that even at very
15 small amounts, .1 parts per billion, glyphosate
16 destroys the gut bacteria, stimulates the growth of
17 breast cancer cells, kills placental cells and causes
18 harm to living things indiscriminately. By allowing
19 glyphosate-based herbicides to be sprayed on our food
20 and feed crops, you are allowing America to be
21 poisoned through our food and water.

22 My second point, glyphosate does have
23 carcinogenic qualities. And the EPA has known about
24 this since 1983. In the 1980s, data supplied by

1 Monsanto to support its position that the tumors were
2 not related to glyphosate exposure was considered by
3 the EPA to be "not convincing". This same data is now
4 somehow not being questioned in the same way nor being
5 used in a precautionary manner. It's being used to
6 protect the chemical companies, not the people.

7 And independent study on Roundup in
8 mice revealed cancer promoting effects. Roundup was
9 found to promote cancerous tumor growth in the skin of
10 the mice. Scientists at the IARC, the cancer arm of
11 the World Health Organization, have found what appears
12 to be a strong link between pesticide exposure and the
13 blood cancer Non-Hodgkin lymphoma. This is
14 information which cannot be ignored.

15 You cannot ethically declare that
16 glyphosate is not likely a carcinogen when people
17 exposed to the weed killer glyphosate, through Roundup
18 by Monsanto, had double the risk of developing Non-
19 Hodgkin Lymphoma. By finding glyphosate is not likely
20 a carcinogen, you're not protecting the farmers and
21 consumers, you're doubling their risk of getting
22 cancer.

23 And my third and final point,
24 glyphosate-based herbicides cause many forms of harm.

1 And all forms of harm need to be considered in your
2 decision making. Glyphosate is never used alone.
3 It's always used with other formulants, which have
4 been proven to be a 1000 times more toxic. Glyphosate
5 has been scientifically proven to be a neurotoxin,
6 cause placental cell death, cell damage, organ
7 dysfunction, brain impairment, uterine changes; it
8 causes cardiovascular toxicity, liver and kidney
9 damage, and in the EPA's own words it was known to be
10 a reproductive effector.

11 Glyphosate is an antibiotic. It was
12 patented by Monsanto as an antimicrobial agent and it
13 kills many microbes, especially the beneficial ones.
14 Glyphosate promotes the growth of the pathogenic
15 bacteria such as E coli and salmonella, increasing
16 digestive issues and illness in American people and
17 increasing the growth of bacteria on our meat.

18 Leading scientists agree that it is
19 possible that glyphosate is a key driver of the
20 problem we face today with multiple antibiotic
21 resistance among certain pathogens. Glyphosate does
22 not dry, wash or cook off. We ingest it. We simply
23 cannot afford as a nation in debt to continue to allow
24 antibacterial chemicals to be sprayed on our food.

1 In assessing glyphosate, it is
2 unscientific, unreasonable and irresponsible to only
3 look at the impact of glyphosate being a carcinogen,
4 especially in any assessment on whether or not to
5 revoke the license. We are being poisoned and our
6 children are being poisoned. All of us are guinea
7 pigs in this horrendous, toxic experiment. You have
8 an opportunity now to stop this. Our lives depend on
9 it. Thank you.

10 **DR. JAMES MCMANAMAN:** Thank you. Are
11 there -- will there be other presentations or is this
12 it? Okay. There's one more. Sorry.

13 **MS. MARGHI BARNES:** That's a hard act
14 to follow. My name is Marghi Barnes. I'm with Moms
15 Across America. And I live on the Eastern shore. A
16 lot of the issues that I deal with have to do with
17 animal agriculture and the environmental and health
18 hazards of that, which include a lot of exposure to
19 ammonia in our air, and also contamination of our
20 waterways. We also have a lot of monoculture farms
21 which are meant to grow food for animals so they're
22 actually subject to lower standards, I believe, when
23 they grow their food.

1 So not only am I concerned as a mother
2 for the food that my child ingests, I'm also very
3 concerned about the spraying. Children in rural areas
4 are becoming more and more sick, especially with
5 respiratory diseases, asthma, lung disease. Eastern
6 shore of Maryland is absolutely through the roof with
7 lung disease and asthma.

8 In fact, to say I find this so funny,
9 this is a mask that is being marketed. This is
10 becoming fashion, this is fashion. They actually have
11 fashion shows now for masks to protect people from bad
12 air quality. And that bad air quality includes
13 pesticides and herbicides. There's even a beautiful
14 picture of a family all wearing these very fashionable
15 air masks.

16 We're not just being effected by
17 glyphosate through the food we eat, it's also in our
18 water, it's also in our air. It's everywhere. It is
19 like glitter. You cannot get rid of it. I mean you
20 can't even avoid it even if you wanted to. Which is
21 really not a, you know, the American way.

22 But I wanted to ask you what is the
23 first thing everyone here sees when you walk into a
24 Home Depot? You see a giant effigy of Roundup in

1 front of you. You know, they always stack them up in
2 this beautiful, creative, artistic way. It's this
3 mountain to impress you when you walk into the store.
4 You know, you need this. You have to get your
5 Roundup.

6 Everyone is using Roundup. They think
7 it's safe. They trust the EPA to protect them. They
8 don't understand that when everyone is using pounds
9 and pounds and pounds of this stuff it reaches a
10 critical mass. There's a saturation point.

11 What is the saturation point for
12 glyphosate to the point where we can't put any more of
13 this in the environment? I mean, I don't think
14 there's a study for that. I don't think we have
15 nearly enough epidemiological studies on how this
16 effects children who have grown up in a world that is
17 much more toxic than the world we grew up in. They're
18 being exposed to a lot more dangers. And we're seeing
19 that. Children are having a really hard time with
20 their health compared to us.

21 I was reading about adjuvants with
22 glyphosate, especially aluminum sulfate. I was
23 reading about how it effects the penile gland and
24 there's studies now that are suggesting when

1 glyphosate is combined with adjuvants it's twice as
2 harmful. And then I go to a farm site and it's all
3 these farmers asking, well how much aluminum sulfate
4 do I combine with the glyphosate.

5 You know, so people are combining this,
6 they have no idea that they're creating something that
7 is so potent, if you will, you know, this is getting
8 dangerous. When it's gotten to the point where we
9 can't get away from it even if we wanted to. And
10 especially as mothers, we're very concerned about how
11 these things are just being let out into the
12 environment and there's nothing we can do about it.
13 We're relying on you to protect us and to protect our
14 children more importantly. Thank you.

15 **DR. JAMES MCMANAMAN:** Thank you.

16 **MS. VIRGINIA KOLAKASKI:** My name's
17 Virginia Kolakaski, I'm here speaking for Laura who
18 couldn't be here today. I'm here also representing
19 millions of mothers who couldn't be here and who don't
20 even know that this is occurring.

21 This all became very aware to me when I
22 lost my brother to cancer at the age of 33. I want to
23 say from a personal level, I've pretty much always
24 been a conscientious mother and had my kids eat and

1 drink, consume organic foods. But personally, while
2 we're putting it all out there, I have had an
3 experience with horrendous menstrual cramps for 30
4 plus years. And once I started learning about
5 glyphosate, what's sprayed on cotton fields, et
6 cetera, I switched to organic. And upon doing that,
7 immediately was cramp free. I think that's pretty
8 amazing, and I've been that way for two years. That's
9 my own personal experience. I'm going to share with
10 you some points Laura was going to talk about today.

11 Thousands of mothers see their
12 children's health improve when they're children avoid
13 glyphosate-based herbicides and eat organic. As a
14 representative of mothers across the nation, you must
15 know that there are thousands, if not millions of us
16 who have reason to believe that glyphosate-based
17 herbicides are largely responsible for our children's
18 skyrocketing health issues.

19 One mom, Zen Honeycutt, has reported
20 that her son had a sudden onset of autism symptoms at
21 eight years old. His doctor tested his urine and he
22 had high levels of fungus, c-diff, bacteria, gut
23 dysbiosis and 21 different food intolerances. He also
24 tested positive for glyphosate in his urine at eight

1 times higher than what was found in European studies.
2 He was the only one of her three sons who was eating
3 wheat. And she had just learned that was sprayed with
4 glyphosate as a drying agent to make it easily
5 harvestable.

6 They eliminated wheat and went all
7 organic and within six weeks retested him and his
8 glyphosate levels were no longer detectable and his
9 autism symptoms were gone. They have not come back in
10 over three years of eating organic.

11 Another mother, Susan T., said the most
12 impacting issue is my children's health. They've
13 shown great improvement after eight months of a GMO
14 free and glyphosate free diet. My eight-year-old has
15 had chronic acid reflux since he was born. My 11-
16 year-old has ADHD and chronic diarrhea. They both are
17 cured of their digestive problems after eight months
18 of not eating GMOs and toxins. And for the first
19 time, her ADHD son brought a report card full of A's
20 and B's without any medication. I don't know what
21 proof other people need, but this did it for me.

22 One of her children had numerous
23 supposed environmental allergies which have
24 disappeared since the elimination of GMOs and

1 glyphosate. Since then, allergies, eczema and
2 behavioral issues have disappeared. And that was
3 another quote by Terry H.

4 Pediatricians have seen remarkable
5 improvements in patients who avoid glyphosate-based
6 herbicides and eat organic. As a teacher who sees
7 increasing health issues in my students I present to
8 you a statement of pediatrician Dr. Michelle Perro
9 regarding EPA HQOPP 2016 through 0385. I'm a
10 pediatrician of 35 years. Of the past 15 years, I've
11 seen a precipitous drop in the health of children.

12 I have studied their gut immune
13 responses as well as their intestinal microbiome and
14 what I have learned was shocking. I have found
15 extremely high levels of antibodies to foods,
16 intestinal permeability, and abnormal T and B cell
17 function. Their microbial diversity of their guts is
18 low and overabundance of potential pathogens. In
19 addition, there is early evidence of autoimmune
20 markers, which a decade ago was rarely found.

21 When I removed glyphosate from the
22 diets, many of their symptoms and findings resolved.
23 Therefore, I was able to surmise that the abnormal
24 findings or link to glyphosate and its associated

1 adjuvants. I have found glyphosate to act as an
2 antibiotic and a chelator. In particular, I have
3 found extremely low levels of magnesium and zinc as
4 well as other minerals. This significantly impairs
5 neurocognitive development function.

6 Both of these minerals are involved in
7 over 200 chemical reactions in the brain alone.
8 Simple correction of these nutrient issues had
9 significant improvement on school performance, focus,
10 mood, lability and sleep. Glyphosate approval needs
11 to be put on hold. I have studied and clinically
12 treated children for the past decade. Without a
13 doubt, ill health is directly correlated to the ever-
14 increasing application of its usage.

15 I just ask you all to please consider
16 seriously renewing the license of glyphosate. I
17 truly, truly believe in my heart of hearts that this
18 is a decision that you can make for the American
19 people going out as our administration changes and
20 things are really -- the environment sounds like to me
21 is not going to be a consideration. Thank you.

22 **DR. JAMES MCMANAMAN:** Thank you. Now
23 we can open up to questions for these presenters.
24 Marion.

1 **DR. MARION EHRICH:** Okay. Marion
2 Ehrich, Virginia Tech. For Kathy Blum, these cookies
3 and things, what was the assay method used for the
4 calculations of concentrations?

5 **MS. KATHY BLUM:** I'm sorry. What did
6 you say?

7 **DR. MARION EHRICH:** What is the assay
8 method? How were they analyzed?

9 **MS. KATHY BLUM:** You know what, I don't
10 know that. But I have all the studies and sources
11 attached to my notes. And you have them all.

12 **DR. MARION EHRICH:** I have to go look?

13 **MS. KATHY BLUM:** Yeah,
14 that -- unfortunately I don't know how -- I don't know
15 the technical details of the studies.

16 **DR. MICHAEL HANSEN:** They're all ELISA.

17 **DR. MARION EHRICH:** Okay. There we go.

18 **MS. KATHY BLUM:** Thank you.

19 **DR. JAMES MCMANAMAN:** Other question?
20 Okay. Hearing none then thank you very much.

21 I have next someone from Immediate
22 Life. And you're from AVAAZ?

23 **REVEREND BILLY TALEN:** I'm Reverend
24 Talen from Immediate Life Church.

1 **DR. JAMES MCMANAMAN:** Can we use a
2 microphone? I don't know if you were here, but we're
3 on a pretty tight schedule and you have five minutes.
4 That's what you requested.

5 **REVEREND BILLY TALEN:** Something about
6 us gives you the impression we'll go over time?

7 **DR. JAMES MCMANAMAN:** No, no. It's
8 just something about human nature that gives me the
9 impression that that may be a possibility.

10 **REVEREND BILLY TALEN:** Well, I'm very
11 happy to cede time to the Moms Across America. We are
12 also coming from the vantage point of being parents.
13 We discovered up in Brooklyn, New York, that a
14 playground in Prospect Park was experiencing the
15 spraying of Monsanto's Roundup in a proximity that we
16 didn't think was appropriate. We started a Freedom of
17 Information Act request with the lawyers from our
18 group and we discovered that there's a lot of spraying
19 in the parks of New York City. And that it is also
20 going out across the country.

21 We started filing FOIAs in scores and
22 then hundreds of cities and towns across the country.
23 And we have created an interactive map of the spraying
24 sites in school systems and parks, city, state and

1 national parks. And you have all that information.
2 This is called the United States National Map of
3 Poisoned Parks and Playgrounds. And it makes it
4 possible for parents to click down and get closer and
5 closer to the ground with their point of view until
6 they can determine if a spraying site is near where
7 their child might frequent in his or her playing.

8 Now we know, as just mentioned, there's
9 a political cloud hanging over this room, this
10 proceeding. We have people who are avowedly against
11 the controls that we're asking for with glyphosates,
12 coming into power and we have the EPA, decades ago,
13 saying in its records that it was aware of the dangers
14 of glyphosates. Probably everybody in this room and
15 many of the people probably at this square table, this
16 impressive meeting table, we've lost loved ones to one
17 of the many diseases that the Moms Across America were
18 listing for us.

19 We're -- especially with children and
20 young families, pregnant, young women who are around
21 playgrounds, around the areas in National Parks,
22 around school yards, ball fields, around picnic areas
23 and hiking areas -- we're very aware of the cancers
24 that come from, we believe, from glyphosates.

1 And we ask that you let some kind of
2 Toto pull the curtain back and see that Monsanto
3 executive at those levers distracting us with some
4 kind of marketing creation of -- the war on cancer
5 would be a good example. But just their advertising
6 and the way that they demonize the science that seems
7 to be arranged against their possible bottom line. We
8 ask you to free yourself of this tremendous prejudice
9 that has kept this toxin in so many of our homes and
10 in our bodies, in our food, in our air. The Moms told
11 us all about that.

12 Now we have the prospect now of looking
13 for new ways as this new administration comes in,
14 finding new ways, a new social movement, a new kind of
15 environmentalism that isn't so ready to accept fossil
16 fuel money, for instance. A new kind of environmental
17 movement that uses litigation, that uses culture, that
18 uses a whole new pallet of activism. In our work,
19 we're using songs, we're performing. We're going into
20 public space in a new way. We're going to many of
21 these parks and playgrounds. We're going in -- we've
22 been inside of the Parks Department of New York
23 performing in their offices. Now as my voice rises, I

1 feel as if I'm starting to preach right here. Amen,
2 Dragonfly. Yes, go ahead.

3 **MS. ROBIN LAVERNE WILSON:** Hello
4 everyone. My name is Robin Laverne Wilson. I'm also
5 known as Dragonfly, but they would not put that on the
6 previous ballot. I was also the Green Party's
7 senatorial candidate for New York State. And I am
8 both an aspiring State's woman and a culture worker
9 along here with Reverend William Talen here.

10 And I just want to interject and make
11 sure that we do not overlook the racial disparity of
12 the effect of glyphosates on society. And when I say
13 racial disparity, I also mean class disparity.
14 Because classism and racism are the two tracks that
15 capitalism railroad runs through communities. I am
16 the daughter of a career combat medic, career in
17 Vietnam. And the 20 years of life that I got to
18 experience with my father, I saw him experience
19 prostate cancer, radiation burn from the prostate
20 cancer, gout, lupus, diabetes, congestive heart
21 failure, angioplasty, PTSD, et cetera.

22 And from the testimonies that you've
23 already heard, I think you can agree that glyphosates
24 have a physical and mental and emotional and at this

1 point, even spiritual effect on families and
2 communities. And from the research that the Immediate
3 Life Church has been doing, we can prove to you the
4 classist and racist disparity. And who gets sprayed,
5 who gets drenched with poisons and who doesn't. Who
6 gets goats to come and clean up their park? And who
7 has to eat poisoned food and drink poisoned water?

8 **REVEREND BILLY TALEN:** Thank you Robin.
9 We have a new era that we're coming into right now.

10 **DR. JAMES MCMANAMAN:** Mr. Talen, I'm
11 not sure where you're at but we're at --

12 **REVEREND BILLY TALEN:** Are we at our
13 five minutes? That's the new era. We're at the end
14 of the five minutes.

15 We just returned from Standing Rock.
16 Standing Rock has taught us the new environmentalism,
17 that this administration coming in that is declaring
18 its hatred of the Earth, we know what you need to do
19 now to stop the pipeline. Glyphosates are a pipeline
20 and the glyphosate pipeline will be stopped by people
21 who are evolving into life. Amen. Thank you so much
22 for your attention.

23 *Praise be: (All singing) Monsanto is*
24 *the devil. No to glyphosates. Monsanto is the devil.*

1 *No glyphosates. Monsanto is the devil. No*
2 *glyphosates. Monsanto is the devil. No glyphosates.*
3 *Monsanto is the devil. No glyphosates. Monsanto is*
4 *the devil. No glyphosates.*

5 **DR. JAMES MCMANAMAN:** I think they
6 should do a recording. Yeah, I mean this has been
7 nice.

8 So, okay, next up. Dr. Hashad?

9 **DR. DALIA HASHAD:** Good morning. In
10 the words of the previous presenter, that's a hard act
11 to follow. I'm Dalia, I didn't bring with me a
12 chorus, no theater, but I come as a representative of
13 AVAAZ, and as a representative of over two million
14 people who have called for an independent and
15 transparent evaluation of glyphosate.

16 Today, what I do bring with me is over
17 5,000 individual comments, unique comments written for
18 you from AVAAZ members across the United States.
19 Here's a printout. You don't all have a copy, but
20 there is a link and people are continuing to comment.
21 These are -- think of these people as your neighbor.
22 These are the average people who are here and want
23 their voice heard in the room and their concerns.

1 Most of the public concern falls into
2 four categories. The first is the real concern that
3 glyphosate is classified as a human carcinogen. The
4 second, is that the public can't meaningfully control
5 or avoid exposure. The third, is that much of the
6 science is corrupted by pesticide and chemical
7 industry after influence. And the last, the fourth,
8 is that government bodies are not appropriately
9 responsive to public concern for the need for
10 protection.

11 One of our members -- I want to share
12 the voices of our members who wanted to be here, but
13 wrote in. Dear EPA panel, your job is to protect the
14 people of our nation and environment. The evidence is
15 overwhelming that glyphosate has permeated our lands,
16 water and food. It has been overused and now is
17 present everywhere. The World Health Organization has
18 determined glyphosate probably causes cancer and
19 studies show it damages DNA. Protect our health, not
20 corporate profits. Please ban glyphosate. That was
21 Arthur Mallow (phonetic).

22 We've seen thousands of these really
23 heartfelt thoughtful comments that people across the
24 U.S., they're impacted by the science. They

1 understand enough of the science that clearly shows
2 that there's a plausible association between
3 glyphosate and cancer. And that's enough to satisfy
4 the EPA's own Guidelines for Carcinogen Risk
5 Assessment.

6 Dr. Arti Chandra writes to you. I'm a
7 primary care physician who practices functional
8 medicine, an approach to chronic issues that looks at
9 underlying group causes to patient's symptoms and
10 disease processes. It is clear from accumulating
11 scientific research that glyphosate poses clear risks
12 to the biochemistry of the body and to the DNA. This
13 is particularly worrying, in light of the fact that
14 for almost all citizens, as been previously mentioned,
15 glyphosate is unavoidable. Our consumption is
16 invisible and we have no way to mitigate the risk. We
17 have no choice in the matter. We can't pick foods
18 that aren't contaminated and we can't keep it out of
19 our bodies.

20 AVAAZ member, Jacqueline Weller
21 (phonetic) writes to you, the average U.S. resident,
22 man, woman and child already has glyphosate in our
23 cells because it is everywhere. On our food, on our
24 lawns, school grounds and parks. Now that scientists

1 say it is probably a carcinogen, we must ban its use.
2 We need the EPA to provide informed, unbiased
3 representation for us in this critical matter over
4 which we the people have no control.

5 AVAAZ member Vince Rabino (phonetic)
6 wrote in, please ban the use of glyphosate. Much of
7 the science that says glyphosate is safe is financed
8 by the chemical companies who want to keep their
9 product on the market. Please, put our health before
10 corporate profits.

11 That can be tough when we're talking
12 about a multibillion dollar business fighting hard to
13 protect their profits. But making people sick to keep
14 industry healthy is criminal. A recent YouGov poll
15 found that 86 percent of Americans are supportive of
16 the EPA using studies from independent scientists in
17 their safety assessments. 62 percent support the EPA
18 suspending the use of glyphosate as a precautionary
19 measure until more independent studies can be
20 conducted. Sadly, we've already put the cart before
21 the horse. 18.9 billion pounds has been used
22 globally. And in 2014 alone, enough glyphosate was
23 sprayed in the U.S. to leave more than 3/4 of a pound
24 of the active ingredient on every harvested acre of

1 cropland. We ask that you exclude compromised studies
2 supported by the glyphosate industry invested actors.
3 We ask you to classify glyphosate as likely to be
4 carcinogenic to humans and to immediately commission
5 the independent studies that we need.

6 I'll close with the words of an AVAAZ
7 member, Rachel Messer (phonetic). She says what so
8 many around the country are asking of you now. Please
9 protect the health and future of the American people.
10 Our families, our children by blocking the use of
11 glyphosate. Thank you for your integrity, thank you
12 for your courage in standing up to toxic chemicals and
13 powerful corporate interests. Thank you very much for
14 your consideration.

15 **DR. JAMES MCMANAMAN:** Thank you. Any
16 questions for Dr. Hashad? Thank you very much.

17 **DR. DALIA HASHAD:** Thank you.

18 **DR. JAMES MCMANAMAN:** Okay. Next up,
19 if we could get Dr. Peter Infante, David Spak from
20 Bayer Crop Science and Alexis Baden-Mayer from Organic
21 Consumers Association?

22 I don't -- are you trying to find Dr.
23 Infante a pointer? I'm just wondering are we trying
24 to find a pointer for you. We're looking. We

1 had -- yeah. Fingers don't work from where you're at,
2 do they?

3 Okay. Well, I guess we're stuck with
4 what you have. Do your best.

5 **DR. PETER INFANTE:** Okay. Thank you.
6 Given the short time that I have for my presentation,
7 I'm going to focus on the epidemiological studies of
8 the -- is there something here I'm missing?

9 **DR. JAMES MCMANAMAN:** That just goes to
10 show that pointing is one of mankind's early human
11 advancements.

12 **DR. PETER INFANTE:** Okay. I'd like to
13 let everyone know I'm now qualified on the pointer. I
14 think. Let's see. No, I'm not. Did it go in? Okay.
15 Thank you.

16 I'm going to focus on the
17 epidemiological studies related to Non-Hodgkin
18 Lymphoma. Is this the advancer here? Okay. What
19 this slide shows are the publications that are used in
20 the various meta-analyses to estimate the risks for
21 exposure to glyphosate in Non-Hodgkin Lymphoma. The
22 ones that are picked out in yellow, those are the
23 point estimates that I used from the six studies that
24 were the studies that EPA has focused on in its

1 review. And I have also added the Cocco for a
2 separate analysis.

3 For the De Roos 2003, my preferred
4 analysis is the logistic regression analysis which is
5 the top row there because the hierarchical regression
6 analysis that some people have used in their
7 meta-analyses adjust the actual data in the study for
8 opinions about cancer based on how EPA and IARC have
9 evaluated the pesticides that were adjusted for. The
10 2.1 there, that logistic regression analysis is based
11 on adjustment for 47 other pesticides.

12 The hierarchical regression analysis
13 adds on top of that this adjustment that's based on
14 opinions about cancer. And these opinions change over
15 time. The same data and the same type of adjustment
16 will change as more information is available on the
17 evidence of carcinogenicity. And the other point is
18 that the hierarchical analysis is based on Non-Hodgkin
19 Lymphoma, but if these other pesticides show evidence
20 of any cancer. To me, it should not, in my opinion,
21 be the preferred analysis to rely upon.

22 In the right-hand column, it shows the
23 relative weights that the studies played in the
24 meta-analyses. And the second De Roos, 2001, everyone

1 has used that. The Eriksson, 2008, 1.5, everyone's
2 used that. Hardell, 1.5, that's the same everyone
3 else has used. For the McDuffie study, I've chosen
4 the risk estimate of 1.4 because that's based on the
5 Hohenadel et al. update of McDuffie which adjusted for
6 doing further pathological review of the Non-Hodgkin
7 Lymphoma cases and they reclassified, I think, four
8 cases. Then the Orsi, everyone has used that.

9 And the Cocco, 2013 I thought I was a
10 reasonable study and it's for B cell lymphoma. And
11 you say well, why are you including B cell lymphoma in
12 a meta-analysis of Non-Hodgkin Lymphoma? And I
13 thought well, it certainly didn't seem unreasonable to
14 include it on a separate analysis because 85 percent
15 of Non-Hodgkin Lymphoma is B Cell Lymphoma. And the
16 Cocco study only evaluated B cell lymphoma.

17 These are the results of the
18 meta-analysis. The top ones say EPA 2016. That
19 analysis I did based on the data on page 64 in the
20 issue paper taken from the Forest plot. And that
21 Forest plot shows the point estimates in the
22 confidence intervals but it does not provide a
23 meta-analysis. The meta-analysis that I did shows
24 that essentially identical to the IARC 2015 analysis

1 which isn't surprising because it's the same studies
2 and the same data points.

3 Schinasi does a meta-analysis shows 1.5
4 that's statistically significant and as you can see in
5 the next column the two studies were adjusted for
6 other pesticide use but he did not use the -- he used
7 the Hardell unadjusted and the Eriksson unadjusted.
8 And they did that in their analysis. Chang and
9 Delzel, they show risk estimates ranging from 1.3 to
10 1.4 that are statistically significant. I presented
11 the results for Model 4 but there are four models in
12 Table 3 of Chang and Delzel that need to be reviewed
13 if you haven't looked at them yet. Because what they
14 are, they're combinations of different data points
15 from the same six studies. They all show relative
16 risks between -- or meta risks between 1.3 and 1.4
17 that are statistically significant.

18 Then in what's called, this
19 presentation, that includes the six studies that IARC
20 has -- that EPA has considered in its review of Non-
21 Hodgkin Lymphoma. The exception that I used the
22 Holland et al update of the Duffy study and I used the
23 De Roos logistic regression analysis without the
24 hierarchical adjustment because I don't really think

1 that's an appropriate adjustment. It's certainly not
2 the most informative in this study. And with that I
3 come up with 1.37 which is essentially the same as
4 what Chang 2016 because they're 1.4 is really based on
5 the same studies that I have where it says this
6 presentation.

7 Then I added Cocco which is a Non-
8 Hodgkin Lymphoma so that would make a total of seven
9 studies and the risk estimate -- meta risk doesn't
10 change. It's 1.4 because it only contributed four
11 cases. It's a small study. Then I did one more
12 meta-analysis excluding De Ross 2005, and what you see
13 is you have a meta risk for Non-Hodgkin Lymphoma of
14 1.67 that's statistically significant. Now you're
15 going to say well why did I exclude the Agricultural
16 Health Study? Well, it's going to become, I think,
17 obvious, as we continue on.

18 To further review this there are like,
19 one, two, three, four, possibly five meta analyses
20 that all demonstrates statistically significant
21 increases in Non-Hodgkin Lymphoma with a range of
22 between 1.3 and 1.5 to 1.6.

23 In summary of epidemiological studies
24 individually five of the six studies demonstrate a

1 relative risk greater than one. All of the
2 meta-analyses conducted to date demonstrates
3 statistically significant results. Three of six
4 studies have significantly elevated risks for either
5 ever/never or those that were part of a dose response
6 analysis that were at the high end of the dose
7 response.

8 Now you say, well, the 2.1 there,
9 that's from the De Roos 2003 and you know, EPA states
10 that there's no -- in the document, states there are
11 no studies that demonstrate statistically significant
12 increase. That's simply not the case. You have it in
13 De Roos 2003 and that analysis, as I mentioned, is
14 adjusted for 47 pesticides. Eriksson 2008 for more
15 than ten days of exposure you have an odds ratio of
16 2.6. It's highly significant. For McDuffie, more
17 than two days per year, you have an odds ratio of over
18 two that's statically significant. You have three out
19 of six studies have been conducted that demonstrate
20 significantly elevated risks for Non-Hodgkin Lymphoma.

21 Now if I can get this to move on.
22 Okay. Now two of three studies have evaluated dose
23 response were statistically significant. You have
24 Eriksson shows that less than 10 days versus more than

1 10 days in the upper estimate there, you've got 2.36.
2 That's highly significant. McDuffie shows an exposure
3 response relationship more than two days per year.
4 The odds ratio is over two-fold. The only study that
5 doesn't show it is the De Ross 2005 Agricultural
6 Health Study.

7 Also, there is one out of one study
8 that evaluated latency indicates an increased risk by
9 latency. You see in the Eriksson study, less than 10
10 years versus more than 10 years. Latency you have
11 then a significant increase in the more than 10 years
12 of latency group. In my opinion, this is pretty
13 impressive evidence in terms of glyphosate in Non-
14 Hodgkin Lymphoma.

15 Now let's talk about the De Roos study
16 which is been characterized by many as a null study.
17 Note I have null in quotes. First of all, the study
18 is a short -- represents a short follow-up period.
19 This isn't latency. There's a difference between
20 latency and follow-up period. This study has a very
21 short follow-up period since they were enrolled in the
22 study between 1993 and 1997 and followed to 2001.
23 That's a maximum eight years' latency. The study
24 indicates a median of 6.7 years of follow-up. Seventy

1 percent of the cohort that's followed in the 2005 De
2 Roos study is younger than 60 years of age. Forty-six
3 percent of the cohort is less than 50 years of age.
4 This is a very young cohort.

5 If they, well, gee, it looks as if it's
6 a very young cohort. Is there any indication in the
7 data from the study that in fact, you know, this might
8 be considered a young cohort aside from looking at the
9 distribution of the ages? When you look at the number
10 of deaths so far diagnosed in that study, remember
11 when they were enrolled in the study, they had to be
12 cancer-free. You only have a maximum of eight year's
13 follow-up in this study. Now when you look at -- only
14 3.3 percent of the cohort has been diagnosed with any
15 cancer.

16 And you say, well, what does that tell
17 us? Well, if you look at data for the U.S., 42
18 percent of U.S. males are diagnosed with an invasive
19 cancer over their lifetime. You might say, well,
20 that's over their lifetime. But this cohort was only
21 followed for up to eight years. That's exactly my
22 point. It has not been followed for a long enough
23 period of time to be able to evaluate any cancer
24 response in the cohort.

1 When I was at NIOSH in the
2 industry-wide studies branch, all of the studies we
3 did were cohort studies. I like, I prefer cohort
4 studies. And I was at OSHA for 24 years, almost 95
5 percent of the studies related to occupational cancer
6 were cohort studies. I've looked at a lot of cohort
7 studies. And I think they're good. It's a good
8 method to use, but you have to follow the cohort for a
9 long enough period of time and you have to allow the
10 cohort to age into the years when cancer develops in
11 order to evaluate the cancer risk from any chemical
12 exposure.

13 The problem is that you don't get
14 enough yield. Like I've heard the comments about this
15 is a large cohort, but there are 71 glyphosate exposed
16 workers who developed Non-Hodgkin Lymphoma. If you
17 look at the case control studies and add them up there
18 are 140 cases. You have twice as many cases of Non-
19 Hodgkin Lymphoma in the controls. And so, that's one
20 advantage of case control studies that you don't have
21 to wait 30, 40 years to identify the cancers. That
22 you can go and identify the cancers and then look at
23 the difference in exposures. Also, you cannot
24 evaluate latency in the De Roos 2005 study. You

1 simply cannot do it because you do not know when the
2 first exposure occurred.

3 So in my opinion of this study, it's
4 too young of a cohort for them to develop cancer. The
5 follow-up period is too short and for those reasons, I
6 think that you cannot rely on this study. And let me
7 further explain my point.

8 **DR. JAMES MCMANAMAN:** Your ten minutes
9 is over by quite a bit.

10 **DR. PETER INFANTE:** Well, I only -- I
11 can go through the rest of them very quickly --

12 **DR. JAMES MCMANAMAN:** Okay.

13 **DR. PETER INFANTE:** -- and I was told
14 like approximately ten minutes. I promise to --

15 **DR. JAMES MCMANAMAN:** I'll give you a
16 little leeway.

17 **DR. PETER INFANTE:** I promise to keep
18 within like a 95 percent confidence interval.

19 **DR. JAMES MCMANAMAN:** All right.

20 **DR. JAMES INFANTE:** Okay. Thank you.

21 Now here are data from the UK because -- data in the
22 UK with epidemiology cancer trends is essentially the
23 same as it is in the United States. But I used the UK
24 data because I'm just using this for an illustration

1 and I could find this chart here that I thought was
2 very nice. Now when you look at the data by age of
3 diagnosis at the bottom, over on the right is rate of
4 cancer per 100,000 population. And these are invasive
5 cancers.

6 Here's where the De Roos cohort is.
7 Approximately 50 percent of them are followed
8 beginning at 50 years of age. When you look up here
9 at this blue line, this is males, cancer incidents for
10 males, total cancer
11 between

12 -- see this part of the curve here, the blue line?
13 That's where the cohort has been followed. You don't
14 start to see cancer develop in people until like 55
15 and older when you start to see an exponential
16 increase. My point is with this graph to show you
17 that the cohort is -- it's a young cohort and it's
18 being followed for this particular -- for this time in
19 its life when cancer development is very low.

20 And when this cohort is followed for
21 another 20 years it will be a very helpful to evaluate
22 the carcinogenicity of glyphosate. So in my opinion
23 the De Roos 2005 study is uninformative in terms of
24 risk of cancer and I'm surprised that no one else has

1 pointed this out. It's very clear from many of us
2 that spent their life evaluating cohort studies.

3 You know, it's like doing a cancer
4 bioassay and terminating the animals by one year at
5 the latest. You're not following it long enough for
6 cancer to develop. It's the same thing in this
7 cohort. And this is why I'm justified, I feel, in
8 excluding De Roos cohort from the meta-analysis
9 because at this point in its follow-up it's an
10 uninformative study.

11 Okay, the study also --

12 **DR. JAMES MCMANAMAN:** Can we just wrap
13 it up in a couple more comments? Because we're way
14 over now.

15 **DR. PETER INFANTE:** All right, yes.
16 There's exposure misclassification I'll be glad to
17 answer questions about it. Now here's the other thing
18 in the study, the comparison group. The comparison
19 group to the glyphosate exposed farmers are other
20 farmers not exposed to glyphosate. Ninety-one percent
21 of them are farmers. Farmers are known to have an
22 elevated risk Non-Hodgkin Lymphoma. You're evaluating
23 Non-Hodgkin Lymphoma in a group and comparing them to
24 another group that's known to have an elevated risk of

1 Non-Hodgkin Lymphoma. Furthermore, in addition to
2 that, 53 percent of them have been exposed, in the
3 table you can see, in the study 53 percent were
4 exposed to 24D. If you look at the Schinasi
5 meta-analysis for 24D, it shows a 1.4 risk that's
6 statistically significant. So then when you do your
7 analysis of ever versus never in that cohort, the
8 never exposed to glyphosate in fact have an elevated
9 risk of Non-Hodgkin Lymphoma because they're farmers
10 and half of them were exposed to 24D which indicates a
11 further problem.

12 Could I -- I'm almost finished?

13 **DR. JAMES MCMANAMAN:** Almost?

14 **DR. PETER INFANTE:** Yep.

15 **DR. JAMES MCMANAMAN:** Okay.

16 **DR. PETER INFANTE:** So here are the EPA
17 descriptors, according to their Cancer Guidelines and
18 top is the highest evidence carcinogenic to humans all
19 the way to not likely to be carcinogenic to humans
20 which is what the EPA issue paper indicates right now.

21 Okay, so what does not likely to
22 carcinogens mean, according to their EPA cancer
23 policy? This descriptor is appropriate when the
24 available data are considered robust for deciding that

1 there is no basis for human hazard concern. I mean
2 it's clearly not there. Suggestive evidence cover;
3 this descriptor covers a single positive cancer result
4 in an extensive database that includes negative
5 studies in other species. Then on the bottom part of
6 it is an example. The increase in tumor in a
7 single -- single -- animal or human study. That's
8 likely to be.

9 Likely to be. Here we are. Now
10 adequate evidence is considered what the descriptors
11 describes the broad spectrum but they use the term
12 lightly does not correspond to a quantifiable
13 probability. And I think that's important in terms of
14 these Cancer Guidelines. An agent demonstrating a
15 plausible but not definitively causal association
16 between human exposure and cancer. That's what likely
17 is.

18 Summary and conclusions; based upon my
19 review of the six epidemiology studies EPA relies upon
20 for its evaluation of Non-Hodgkin Lymphoma risk, in
21 relation to the criteria presented in EPA's Guidelines
22 for Cancer Risk Assessment, data for glyphosate
23 exposure and risk of Non-Hodgkin Lymphoma clearly
24 exceed the proposed descriptor not likely to be

1 carcinogenic to humans. And I conclude on the basis
2 of the epidemiological evidence for Non-Hodgkin
3 Lymphoma that glyphosate should be categorized as
4 likely to be carcinogenic. Thank you.

5 **DR. JAMES MCMANAMAN:** Thank you. Any
6 questions for Dr. Infante? Okay. Dr. Johnson had his
7 hand up first.

8 **DR. ERIC JOHNSON:** I'd be grateful if
9 you can share with us any data that you have on the
10 latency of Non-Hodgkin Lymphoma.

11 **DR. PETER INFANTE:** For Non-Hodgkin
12 Lymphoma?

13 **DR. ERIC JOHNSON:** Yes. What's the
14 latency based on other --

15 **DR. PETER INFANTE:** The latency?

16 **DR. ERIC JOHNSON:** Well, you know, it
17 varies. And it depends on the exposure. Like for
18 example, an individual exposed to chemotherapeutic
19 drugs, they have a short latency. Individuals exposed
20 to radiation like from the atomic bomb, they have a
21 short latency period. Those who were exposed -- by
22 short, okay, I'll say within, you see cases in those
23 situations within 10 years from treatment with
24 anti-neoplastic agents or from radiation exposure.

1 When -- if you look at the studies related to toxic
2 chemical exposures you see latencies ranging anywhere
3 from 10 to maybe 30 to 40 years.

4 And in fact, the EPA, in its comments
5 on the data say that well, it's not known what the
6 latency period is for Non-Hodgkin Lymphoma and they
7 cite Wiesenberger (phonetic) 1992. Well, Wiesenberger
8 study does not support it could be any time. It
9 states exactly what I just stated that for these very
10 high doses, to very toxic agents, you have a shorter
11 latency period. And for exposure to toxic chemicals
12 at lower level exposure, you would have a relatively
13 longer latency period.

14 In fact, he sent a comment that I saw
15 on the docket saying that EPA is incorrect on its
16 assessment that the range of latency for Non-Hodgkin
17 Lymphoma can be anywhere from 1 to 25 years. In fact,
18 the other two studies that they cite, one of them
19 shows that there's no elevated risk in latency until
20 26 or more years. That's the Kato study related to
21 organic solvent exposures. And then the third one is
22 the study of rice workers in Italy who were first
23 exposed before 1950 and in that particular situation

1 you don't see an elevated risk until after 1975 so
2 it's got to be a minimum of 25 years.

3 And those are simply the three studies
4 that EPA is citing to say well, we don't know what the
5 latency period is. There are some indications of
6 latency in those studies. And it's not all over the
7 place. And in fact, if you look at benzene exposed
8 workers, Dr. Crump probably knows this data, that you
9 see with refinery workers, you see elevated risks of
10 Non-Hodgkin Lymphoma and multiple myeloma from 30 or
11 more years. You can see it shorter, but you see the
12 same thing with organic solvents, you'll see it with
13 benzene, there are a lot of -- rubber workers exposed
14 to solvents, some containing benzene in the rubber
15 industry; they have a relatively long latency period.

16 It depends on the type of exposures.
17 And I think to sum it up I would say that if you have
18 low level exposure to a toxic agent, in general,
19 you're going to have a longer latency period.
20 Certainly, more than 10 years, maybe more than 20
21 years. It depends on the exposure. And it depends
22 on -- for an individual, it depends on what else
23 they're exposed to. You know, we don't live in a
24 world where we're exposed to one toxic agent at a

1 time. Plus, we have our own genetic component of what
2 we're susceptible to. I would say certainly I would
3 think for the most part more than 10 years, but I
4 suppose there could be exceptions.

5 **DR. ERIC JOHNSON:** This issue is a
6 challenging one, the latency and Non-Hodgkin Lymphoma.
7 I mean, it makes it difficult for some of us to
8 clearly interpret those results. Because latency we
9 tend to give one number. When we give one number it
10 is just the average. It is always a range. And so,
11 one has to factor in that range when one determines
12 whether is it possible to see case due to that
13 exposure or not.

14 **DR. PETER INFANTE:** You're correct.
15 There is not one number. That's the average.
16 Obviously, you've got latencies on both sides of
17 whatever are the average or median latency period is.

18 But I would say from my experience
19 looking at data from a lot of different datasets on
20 Non-Hodgkin Lymphoma you see elevated risks. If you
21 look at the NCI study of benzene, for those at more
22 than 10 years of exposure they have a four-fold risk
23 of Non-Hodgkin Lymphoma.

1 DR. ERIC JOHNSON: If you can help us
2 out.

3 DR. JAMES MCMANAMAN: Dr. Johnson,
4 we're going to have to -- it's got to be questions,
5 not a back and forth here. It's not a discussion.

6 DR. ERIC JOHNSON: No. What I'm asking
7 Dr. Infante, if he can share with us some of that data
8 because that would be helpful for us to look at. The
9 latency on Non-Hodgkin Lymphoma because it's becoming
10 so critical in this evaluation.

11 DR. JAMES MCMANAMAN: I think he just
12 shared it with us verbally and if you can provide us
13 with references to that, that would be wonderful.

14 DR. PETER INFANTE: Let me make a note.
15 I can do that. I will do that, yes.

16 DR. JAMES MCMANAMAN: Okay. Dr. Green
17 had her hand up first.

18 DR. LAURA GREEN: Just quick. Thank
19 you, Dr. Infante for that very interesting assessment.
20 I have two questions. First, have you tried to find
21 any data on manufacturers?

22 DR. PETER INFANTE: No. I mean, I
23 don't have access to that.

1 **DR. LAURA GREEN:** We've been asking
2 about it. It doesn't seem to exist. Just wondering
3 if you had tried to find any.

4 Second, the Ag health workers De Roos
5 and colleagues were of course aware of their short
6 follow-up period and mentioned that in their papers, a
7 limitation. I mean, to be fair, it's not, they knew
8 that and it is what it is. But they also promise us,
9 and it's 11 years ago now, that there's going to be a
10 follow-up study. I'm wondering if you've tried to
11 contact De Roos et al. and see if they're ready with
12 their follow-up. Or if not, why not?

13 **DR. PETER INFANTE:** Well, I, in fact, I
14 did contact the National Cancer Institute because they
15 presented abstracts at two meetings in the last year.
16 The most recent was at the IARC 50th Anniversary
17 meeting. And at that meeting, the data from the
18 abstract -- it was in May of this year -- the data
19 from the abstract indicate, it looks like there are
20 several subtypes of Non-Hodgkin Lymphoma that, you
21 know, may be elevated. But you need to actually look
22 at the study to evaluate all the methodology. I think
23 Hawa (phonetic) is the first -- in the -- I submitted

1 written comments today, and I have the reference for
2 the abstract.

3 But anyhow, NCIs response to me was
4 that it was still undergoing peer review and as soon
5 as it was ready for publication they would release the
6 results. I don't know, maybe the SAP could ask EPA to
7 request the results from that study. Because the
8 point is that when you've got subtypes -- when you
9 have an overall significant increase in Non-Hodgkin
10 Lymphoma, obviously, some of the subtypes are going to
11 show a higher risk than the overall risk.

12 And that's another thing, is in this
13 document, I think you should ask the EPA to include a
14 review of the subtypes. They didn't do that. They
15 said they were only going to look at total. And
16 Eriksson shows a significant increase in some subtypes
17 and there are probably other studies as well.

18 **DR. JAMES MCMANAMAN:** Dr. Parsons.

19 **DR. BARBARA PARSONS:** So I just have a
20 simple question. In your presentation, you referred
21 to the age of the cohort regarding Non-Hodgkin
22 Lymphoma. I think you said 70 percent --

23 **DR. JAMES MCMANAMAN:** Dr. Parsons, can
24 you put the microphone a little bit closer?

1 DR. BARBARA PARSONS: -- 70 percent
2 younger than 60 years, 46 percent younger than 50. I
3 just thought it would be informative to know what's
4 the average age of diagnosis for Non-Hodgkin Lymphoma?

5 DR. PETER INFANTE: The average age of
6 which?

7 DR. BARBARA PARSONS: Diagnosis for
8 Non-Hodgkin Lymphoma. Are you aware?

9 DR. PETER INFANTE: You know, I don't
10 know, but I will be glad to provide it. I should look
11 that up. But my point is in terms of the length, it's
12 the young cohort -- approximately 50 percent are
13 younger than 50 years of age. And when you began the
14 follow-up they were all free of cancer. They had to
15 be to be in the study.

16 You're saying that in a four to eight-
17 year period of follow-up you're going to -- at people,
18 men that are that young -- you're going to identify a
19 significant increase in specific cancers? You know, I
20 kind of doubt it.

21 This cohort, I think is going to be a
22 good cohort when it's followed for 20, 25 years. But
23 not right now. It has its limitations. As was
24 pointed out to me, Blair et al. (phonetic) said, I

1 think it was a young cohort, is that what you said?
2 You had indicated that the NCI said that it was short
3 follow-up period.

4 **DR. LAURA GREEN:** Yeah, they
5 specifically said that the short follow-up period
6 precluded precise effect estimates.

7 **DR. PETER INFANTE:** Yeah. Well, the
8 latter part's an understatement. The first part is --

9 **DR. LAURA GREEN:** Well, it's what they
10 wrote.

11 **DR. PETER INFANTE:** Well, it absolutely
12 does. Because I just don't think at this point in the
13 follow-up in can inform us about the cancer risk from
14 glyphosate. And that's, you know, just look at the
15 data yourselves. You can see how -- it just cannot.
16 It's too -- I think it's a good cohort, it just hasn't
17 been followed long enough.

18 **DR. LAURA GREEN:** Yeah, I think we all
19 would love to see the most recent data. I think
20 that's pretty clear.

21 **DR. PETER INFANTE:** But then I don't
22 think you can say it's a null study. I think it's an
23 uninformative study.

1 **DR. JAMES MCMANAMAN:** That was Dr.
2 Green. Dr. Zhang?

3 **DR. LUOPING ZHANG:** Hi. Dr. Infante,
4 from your second slide, I noticed that you put down,
5 you know, the Hohenadel (2011) since you happily say
6 provide us some paper and --

7 **DR. PETER INFANTE:** Sorry, I want to
8 make sure we're on the same slide. What's it called?

9 **DR. LUOPING ZHANG:** Just second slide.
10 The Table 1.

11 **DR. PETER INFANTE:** Table 1. Okay.

12 **DR. LUOPING ZHANG:** The Hohenadel
13 (2011) paper. Also in your comments, you put
14 Hohenadel corrects McDuffie's results. Could you --

15 **DR. PETER INFANTE:** Expand on that?
16 Yes. Yes.

17 **DR. LUOPING ZHANG:** Yeah. What did
18 they correct and why they correct and it looks like
19 this is -- the 2011 results, the paper was included in
20 Chang 2016 meta-analysis. Why they replace it?

21 **DR. PETER INFANTE:** Okay. They updated
22 McDuffie because when they did the pathology review in
23 the McDuffie paper, they further had expert pathology
24 review and that review indicated that there were, I

1 think, four cases, at least in the exposed, that were
2 reclassified that were not Non-Hodgkin Lymphoma.

3 **DR. LUOPING ZHANG:** I thought already
4 there was four cases in the exposed and two controls.
5 Oh, that's the Cocco, that's the Cocco, sorry. I take
6 it back.

7 **DR. PETER INFANTE:** So that's the most
8 updated data on McDuffie. That, I think it was very
9 good for Chang and Delzel to include the update of
10 that based on their analysis of glyphosate in the
11 Hohenadel (2011) paper. And for that study they came
12 up with a risk of 1.4 include looking at glyphosate by
13 itself and glyphosate plus malathion and then taking
14 like an average of those two risks they come up with
15 1.4 in the what was the McDuffie study for the
16 relative risk of Non-Hodgkin Lymphoma. And that's
17 what they used in their Model 4. Model 1, 2 and 3 are
18 different combinations. But whichever model they use,
19 the results are always statistically significant.

20 **DR. LUOPING ZHANG:** So do you have the
21 updated paper, 2011? If you do would you share it
22 with us?

1 **DR. PETER INFANTE:** Yeah, I don't have
2 it here with me today but I could email it to Mr.
3 Knott.

4 **DR. LUOPING ZHANG:** That would be good.

5 **DR. PETER INFANTE:** You know, you
6 mention that, but that's not in the EPA review.

7 **DR. LUOPING ZHANG:** It's not.

8 **DR. PETER INFANTE:** But it is --

9 **DR. LAURA GREEN:** Actually it is and
10 I'm confused and I actually -- I know we're not
11 supposed to be having a conversation but I actually
12 would love Dr. Infante's opinion on this.

13 **DR. JAMES MCMANAMAN:** Well, it sounds
14 like a question to me.

15 **DR. LAURA GREEN:** It is a question.
16 Yeah, and maybe EPA can talk to us about this also.
17 Because I was confused by this. No, EPA does site
18 Hohenadel et al. (2011) and they say two things about
19 it. First, they say we're not evaluating it for
20 quality because McDuffie et al has a larger number of
21 cases and is more complete. I thought to myself
22 that's a little weird. How can a paper that was 10
23 years earlier be more complete?

1 Then I looked and I looked that EPA
2 looked also and in their Figure B.3, here's what EPA
3 reports and I can't go any further than this, but I
4 just wanted to ask you if you had. EPA shows us not
5 really a Venn diagram, but shows us two circles.

6 EPA reports that Hohenadel et al.,
7 while you're quite correct that they corrected for
8 pathology reassessment, apparently for reasons unclear
9 to me, but maybe to you, Hohenadel et al. report only
10 on 19 exposed cases and 78 exposed controls, whereas
11 McDuffie, which is the same cohort, is much larger.
12 Instead of 19 exposed cases, there's 51 exposed cases
13 and instead of 78 exposed controls, there's 133
14 exposed controls. I'd like to know, since I am not
15 familiar with Holland et al, if you know why there's
16 that big difference and maybe we can ask EPA later
17 when we're talking?

18 **DR. PETER INFANTE:** You know, I can't,
19 I can't remember that. I'm sorry.

20 **DR. JAMES MCMANAMAN:** Okay. Dr.
21 Sheppard.

22 **DR. LIANNE SHEPPARD:** Thank you for
23 those updated pieces of insight. I wanted to ask you
24 a little bit more about latency and specifically

1 because you commented that only the Eriksson paper had
2 analysis of latency and while it's not as specific as
3 one would like to glyphosate, there is what I would
4 consider a very interesting latency analysis in
5 Hardell et al. And I was wondering if you looked at
6 that at all carefully? Because there were actually
7 two that I thought were pretty interesting.

8 One is about -- it's all herbicides.
9 Some of their analyses break out glyphosate but most
10 of them don't. All herbicides, it talks about
11 induction period which I think is what they are
12 referring to as latency. And it has relative risk
13 for -- or odds ratios for 10 year periods, 1 to 10, 10
14 to 20, 20 to 30 and greater than 30. And all of the
15 odds ratios are relative to the 1 to 10 and they're
16 all elevated. The first one 10 to 20 years is 2.32
17 with confidence interval of 1.04 to 5.16.

18 That suggests for Non-Hodgkin Lymphoma
19 with respect to herbicide exposure that the latency is
20 around -- the most important latency period is around
21 10 to 20 years. Although it's elevated in all the
22 periods and they're not really that different odds
23 ratios. 1.63 and 1.7 for the later periods. And then
24 so I guess, if you thought at all about this. And

1 then there was another piece I wanted to also ask you
2 about because I think it's also relevant for
3 interpreting the Agricultural Health Study. And that
4 is about instead of latency, they look at time span
5 between last exposure and diagnosis. And there they
6 also look at 10-year periods, and the highest odds
7 ratio there is for the 1 to 10-year period; suggesting
8 that that recent exposure is also important, I guess
9 is what I'm trying to say. Which is a little bit
10 different than latency but also gets at timing.

11 Anyway, I mainly wanted to ask you what
12 you thought about the Hardell paper, and specifically
13 about some of these analyses if you've thought about
14 them at any depth.

15 **DR. PETER INFANTE:** Well, I had
16 forgotten to mention the Hardell paper, but I think
17 that's kind of consistent -- that's analysis based on
18 herbicides, kind of consistent with what we were
19 talking about, like 10 to 20 years because that's the
20 first latency interval for herbicides that shows like
21 a significantly elevated odds ratio.

22 I think that's another indication that
23 you're talking about at least 10 years to 20 and I
24 would say more -- in fact more years. Because even

1 the upper bound of the latency period showed a
2 significant increase even though the odds ratio is a
3 little lower. I mean, you don't have real large
4 numbers here. That's with Hardell.

5 Regarding the Agricultural Health Study
6 and the analysis by diagnosis from last exposure --

7 **DR. LIANNE SHEPPARD:** Well, this is
8 also in the Hardell paper. It wasn't in the
9 Agricultural Health Study. This analysis about time
10 between last exposure and diagnosis. I just think
11 it's relevant to interpreting the Agricultural Health
12 Study since all of those cases were -- or all of the
13 exposures were calculated from baseline and didn't
14 update as time went on.

15 **DR. PETER INFANTE:** Well, I think it's
16 a little more of a complex issue than is apparent when
17 you first look at analyses by time intervals since
18 last exposure to diagnosis. Because for that -- the
19 reason for that last exposure to diagnosis may be
20 related to, well, what was your exposure at the time
21 you were exposed?

22 I think it gets confounded with that.
23 And I think it's not -- I think it's not so simple to
24 evaluate what's really going on. And not just in this

1 study. I've seen it with benzene also. The intervals
2 since last diagnosis. I think it's a more quagmire to
3 get into. I don't -- I just think it's difficult to
4 make a lot of scientific sense out of it. Because
5 there can always be extenuating circumstances.

6 **DR. JAMES MCMANAMAN:** Okay. Thank you,
7 Dr. Infante. I think we're going to have to move on
8 now. We've covered this pretty well. I appreciate
9 your comments.

10 Next up is David Spak from Bayer Crop
11 Science.

12 **DR. DAVID SPAK:** So good morning. My
13 name is Dr. David Spak. I'm currently the stewardship
14 manager for Bayer of Education Management in Research
15 Triangle Park in North Carolina.

16 Let me first thank the EPA for allowing
17 Bayer to provide comments this morning. And although
18 the subject matter of this meeting is about the
19 carcinogenicity potential of glyphosate I would like
20 to talk about the benefits of integrated vegetation
21 management which I'll refer to as IVM, which also
22 includes the use of non-selective herbicides such as
23 glyphosate in these non-agricultural type settings.

1 Under FIFRA, the agency must not only
2 evaluate the hazards of an active ingredient but also
3 consider the benefits the product brings to society.
4 Bayer, in conjunction with IVM Partners, Incorporate,
5 has conducted research designed to improve habitats
6 for pollinators, birds and other wildlife along public
7 rights of ways including railroads, roadsides, utility
8 rights of way through the use of IVM practices.

9 IVM employs various management
10 techniques including chemical, mechanical and other
11 cultural practices to maintain a healthy native plant
12 community that's complimentary to ensure safe
13 transportation and reliable energy transmission as
14 well as improving habitats for wildlife and
15 pollinators.

16 And even though glyphosate is
17 considered a non-selective herbicide, glyphosate can
18 be used selectively by targeting specific plant stages
19 of growth, using specific application methods or rates
20 to achieve control of the target vegetation while
21 having no to minimal impact desired vegetation.

22 Some of the benefits resulting from IVM
23 practices that include these directed foliar sprays of
24 glyphosate to control invasives and release low

1 growing vegetation, include reducing or eliminating
2 mowing, improving wildlife habitat, reducing carbon
3 footprint, reducing erosion, lowering the risk of
4 wildfires and also reducing overall maintenance costs
5 for public utility and transportation companies.

6 For example, under the 2005 Energy
7 Policy Act, utilities can be fined a million dollars
8 per day for power outage occurrences. Using IVM
9 methods, utility companies can increase the
10 reliability of electric power and reduce power outages
11 usually associated with poorly managed vegetation.
12 IVM also encourages pollinator diversity because
13 native prairie and meadow habitats are typically
14 suppressed by undesirable brush and invasive plants.
15 Herbicide use is necessary to remove these plants and
16 allow milkweed, asters and wildflowers to grow and
17 provide nectar and pollen for pollinators in addition
18 to providing primaries for bobwhite quail, turkey and
19 other wildlife.

20 Ravines and rights of way borders
21 provide additional nesting and forage sites when
22 mountain laurel, blackberry, blueberry, viburnum and
23 other shrubs are retained. In some areas where trees
24 and invasive plants were treated with herbicides, rare

1 orchids that have been dormant for many years are
2 springing into life.

3 As open meadow and prairie systems are
4 restored so are the native plants and wildlife habitat
5 with no additional planting required. Also within
6 three years, about a third of the maintenance budget
7 can be saved by eliminating the need for routine
8 mowing.

9 So just in conclusion, at Bayer, we're
10 committed to the safety and environmental stewardship
11 associated with our products throughout their entire
12 life cycles. We work hard to reduce the environmental
13 impacts of our products and activities, improve our
14 resource and energy efficiency and develop new
15 technologies, optimized process and innovative
16 products that serve to protect and benefit the
17 environment.

18 We promote using the right tool at the
19 right time. As modern agriculture changes in an
20 increasingly complex business and regulatory
21 environment we're also collaborating with many
22 different organizations around the country from
23 industry non-profits to government agencies, to
24 universities and other educational partners in order

1 to ask the right questions and find the best
2 solutions.

3 So once again, thank you very much for
4 allowing us to provide comment this morning.

5 **DR. JAMES MCMANAMAN:** Thank you, Dr.
6 Spak. Any questions?

7 Yes, Dr. Ramesh?

8 **DR. ARAMANDLA RAMESH:** Dr. Spak, is
9 Bayer involved in manufacturing and marketing of
10 glyphosate?

11 **DR. DAVID SPAK:** I'm not really
12 qualified to answer that question. We have a business
13 that was divested recently that included a product
14 that contained glyphosate. But that would be best
15 answered by someone else within Bayer Crop Science.

16 **DR. JAMES MCMANAMAN:** Other questions?
17 All right. Thank you, Dr. Spak.

18 Next, we have Alexis Baden-Mayer from
19 Organic Consumers Association.

20 **MS. ALEXIS BADEN-MAYER:** Good morning,
21 Mr. Chairman and members of the Scientific Advisory
22 Panel. I am Alexis Baden-Mayer, political director of
23 the Organic Consumers Association. Today I speak on
24 behalf of 120,000 members of our organization who

1 signed our petition asking the Environmental
2 Protection Agency to follow the World Health
3 Organization's classification of glyphosate as a
4 probable human carcinogen.

5 The reason why so many people care
6 about this issue is because people are actually dying
7 from Non-Hodgkin Lymphoma because they were exposed to
8 glyphosate. It's well established that farmers have
9 lower overall death rates and cancer rates than the
10 general population, but farmers are more likely to get
11 certain cancers including Non-Hodgkin Lymphoma.

12 It's time for the EPA to acknowledge
13 that while too many farmers and pesticide applicators
14 know only too well that exposure to glyphosate can
15 cause cancer. And I have longer written comments but
16 I want to jump to the second piece of my comments
17 which are testimonials that I've collected from people
18 who had been exposed to glyphosate and who are now
19 either dead or suffering from Non-Hodgkin Lymphoma.

20 This is a testimonial from the wife of
21 Dean Brooks (phonetic). She says my husband of 27
22 years, Dean Brooks, passed away from Non-Hodgkin
23 Lymphoma, stage 4 on July 11th, 2016 this year. He
24 suffered greatly with this disease due to using

1 Roundup on weeds on a ranch we live on in Northern
2 California. His pain and suffering due to glyphosate
3 is unforgivable. There is no reason that this product
4 should not be labeled as a poison unsafe to use.
5 Having been a healthy athlete all his life he was
6 reduced to an underweight man fighting just to live,
7 albeit with great pain and side effects such as
8 scabies, shingles and more.

9 The chemotherapy alone is enough to
10 take one's life or what is left of one's life through
11 numerous infusions. Dean's life, as well as the other
12 victims of this vicious poison must be honored and the
13 inaccurate labeling of this product must be altered to
14 toxic, can cause Non-Hodgkin Lymphoma. That's from
15 Deborah Brooks (phonetic) in Irvine, California.

16 From Jimmy McFarland (phonetic) in
17 Texas, he says my name is Jimmy and I live in Texas.
18 In the mid-1970s I got involved with Roundup at my
19 place of employment and used it until the early 1990s.
20 I was the herbicide operator for a county in Texas. I
21 used Roundup every growing season until I was told
22 that I had Non-Hodgkin Lymphoma. I was treated with
23 chemo for nearly a year. I still have to go to my
24 oncologist yearly to be checked. My health really

1 went down after that. I had to retire early. I still
2 remember the salesman from Monsanto saying that
3 Roundup was not as toxic as table salt and he would
4 mix a cup of Roundup with water and drink it. That's
5 from Jimmy McFarland in Texas.

6 And from Vickie Layborne (phonetic) in
7 Missouri, she says, in July 2012, my husband, a
8 completely productive, healthy individual was
9 diagnosed with CNF Lymphoma brain cancer at the age of
10 62. The illness came on suddenly and he died
11 September of 2012. My husband was exposed to Roundup
12 sprayed on our ten-acre farm for years as well as
13 neighboring farms.

14 From Dave Hendrix (phonetic),
15 Vancouver, Washington. I was diagnosed with Stage 4
16 Large B-Cell Lymphoma after applying Roundup and Rodeo
17 for a period of 10 years. When I first learned the
18 active ingredient, glyphosate, had been linked to
19 lymphoma, I was shocked. Because I was a licensed
20 applicator, requiring continuing educational classes
21 sponsored by the state. After several of these
22 classes, a Monsanto representative would stand in
23 front of the class holding a glass of Roundup and

1 saying Roundup is so safe, you could drink a glass
2 without any harm to your body.

3 Plus, as a licensed applicator, I
4 relied on the material safety data sheet produced by
5 Monsanto, to ensure the property owners that the
6 herbicide I was applying was safe. My cancer
7 treatment consisted of a year of chemotherapy and
8 radiation treatments to my right shoulder, where the
9 largest of the four tumors is located. The residual
10 effects of the chemotherapy caused neuropathy of my
11 feet and fingers. I have a difficult time walking
12 with constant pain and very poor circulation.

13 The tumor in my right shoulder caused
14 damage to the bone and nerves requiring pain
15 medication, on a daily basis. I worked through the
16 chemo and radiation treatments until I completely ran
17 out of energy. And I couldn't seem to regain the
18 energy required to maintain a full work day. I had no
19 choice but to take medical retirement.

20 This retirement came six years early so
21 my retirement pension has been greatly reduced. And I
22 don't have the health to enjoy retirement, even if I
23 could afford it. That was from Dave Hendrix,
24 Vancouver, Washington.

1 And then Sylvia Peters (phonetic),
2 California. She says my father died of Non-Hodgkin
3 Lymphoma. He worked for Robert Hall an agriculture
4 company owned by Robert Hall and in a affluent coastal
5 community of Encinitas, California for decades. My
6 father was the person who sprayed the pesticides and
7 fertilizers for Robert Hall's 40 acres plus and two
8 other greenhouse sites in Encinitas, California.

9 The only protection my father was given
10 by the owner of the agriculture company was a paper-
11 thin mask and he wore a long sleeve shirt. My father
12 had a work related torn muscle on his shoulder from
13 carrying the spray hoses on his shoulders for years.
14 While he was getting X-rays for his torn muscle
15 injuries, the doctors found Non-Hodgkin Lymphoma in
16 his chest. As a result of the glyphosate he was
17 exposed to, my father suffered greatly.

18 And then from Dorothy Baker (phonetic)
19 in Washington. I noticed about a year and a half ago,
20 I started getting tired. I had no energy. I got
21 tired very easily. The doctor diagnosed me with
22 lymphoplasmacytic lymphoma. I started treatment in
23 the spring of this year and I didn't realize how fully
24 time consuming cancer is. It just amazes me. Now I'm

1 on maintenance but there is no cure for this cancer.
2 I will likely be on maintenance for the rest of my
3 life. I go back in for treatment once every two
4 months for chemotherapy. I will have to have chemo
5 treatment for the rest of my life.

6 I used Roundup for many, many years
7 around my yard, along the road, in the garden, around
8 the edges of the landscaping around my home. I never
9 worried about it because I felt safe using it.
10 Everyone is using it. I wish I had known at the time.
11 If it can save anyone from the same fate by writing to
12 the EPA, I would hope so. From Dorothy Barker in
13 Washington.

14 And then from Oweda Hubert (phonetic)
15 in Georgia. For approximately eight years I used
16 Roundup on my three acres around flower beds, along
17 fence lines, road ditch to control weeds. Living in
18 rural Georgia, cotton fields adjoined my property.
19 These fields were sprayed by tractors plus planes.

20 In 2004, I was diagnosed with
21 Non-Hodgkin Lymphoma, Stage 4. I have been through
22 six months of chemotherapy. It has taken my lifestyle
23 away. I have always been a very active person, but
24 now I am limited to simple housework. My energy level

1 has decreased by at least 80 percent. Realizing this
2 is non-curable, it has taken a toll on myself plus my
3 whole family.

4 And then from Bruce Alster (phonetic),
5 in Wellington, Florida.

6 **DR. JAMES MCMANAMAN:** Ms. --

7 **MS. ALEXIS BADEN-MAYER:** I have just
8 one more.

9 **DR. JAMES MCMANAMAN:** Okay. Good.

10 **MS. ALEXIS BADEN-MAYER:** I used Roundup
11 year-round for about 13 years for weeds alongside my
12 driveway and between my pavers. I stopped using it
13 since I found out I have cancer. I was reading about
14 lymphoma and saw information about Roundup being
15 linked to cancer. There is no negative label on the
16 Roundup container like there is on cigarettes. I
17 don't smoke, by the way.

18 But I had no warning. I had no idea.
19 This year I was diagnosed with Stage 4 Lymphoma. I
20 had two surgeries in June. During one surgery, they
21 took out seven lymph nodes. Three were really bad,
22 and four were surrounding. I feel discomfort every
23 day underneath the arm where they removed the lymph
24 nodes.

1 A couple of weeks later, another
2 surgery found out the lymphoma is in my bones.
3 Because it's in the bone, the doctor says they can't
4 really do anything. They say chemo won't help.

5 I deal with symptoms but at times I
6 feel really sick. Sometimes up to three times a day I
7 have a fever of 102 to 104. When that happens my
8 fingers also hurt. Different parts of my body hurt.
9 It's like having a deep case of the flu. Sometimes it
10 can last up to an hour or two hours. This can happen
11 several times a day or not at all.

12 I also have night sweats or itching. I
13 don't have a rash but my skin just itches. I fast one
14 day a week, hoping that the cancer is not being fed.
15 The doctors told me to change my diet because they
16 feel cancer cells feed on sugar. On the days I fast,
17 I drink only plain water. I am fatigued out. And
18 that's from Bruce in Florida.

19 And I just want to say two more things
20 if you will indulge me. I want to answer a question
21 that was asked of Amanda Starbuck yesterday from Food
22 and Water Watch. I was listening on the phone so I
23 don't know who asked the question, but it was about
24 good laboratory practices. Okay. The issue is bias.

1 And the good laboratory practices cannot exclude bias
2 when the studies are being done by the industry.

3 And one of Amanda's findings in the
4 research, she looked at the studies that EPA covered,
5 131 studies. 71, more than half, were unpublished
6 industry studies. And then she looked at the results
7 of those studies. And the industry studies were 30
8 times more likely to find glyphosate's toxicity -- oh
9 sorry. The independent studies were 30 times more
10 likely to find glyphosate's toxicity than the industry
11 studies. That's something the good laboratory
12 practices program, while it does do a great job of
13 recordkeeping, making sure that everything can be
14 check, it does not eliminate bias.

15 And many studies have shown that good
16 laboratory practices can't eliminate bias and that
17 industry studies are more likely to find that a
18 product is safe than an independent peer reviewed
19 study. That's the important point that Amanda was
20 making and I just wanted to clarify that. I included
21 a link to this.

22 And then just the very last thing, I've
23 never met Dr. Infante before and I hope that he's not
24 upset by me mentioning this, but I can't believe that

1 he was removed from this panel. And I've also never
2 communicated with him. I just want you to know that
3 he has nothing to do with me making this statement and
4 he probably doesn't want it made because he didn't say
5 anything about it, he used all of his time to talk
6 about the science.

7 But this could happen to any one of
8 you. He was just as qualified to sit on this panel as
9 each of you, and I really feel that if you all don't
10 speak out as scientists, not just this process, but
11 all of the processes of federal agencies are in
12 jeopardy. And things are not going to get better any
13 time soon.

14 I think now is the time to speak up
15 about this type of injustice and we can't let Crop
16 Life, the pesticide lobbyist, tell the EPA who can and
17 who cannot sit on a scientific advisory panel.

18 **DR. JAMES MCMANAMAN:** All right. Thank
19 you. Any questions for this presenter? Dr. Johnson?

20 **DR. ERIC JOHNSON:** Not so much a
21 question as a comment and that is that the issue of
22 Non-Hodgkin Lymphoma, it's a challenging one for this
23 panel, I think really. The reason I am saying that is
24 because Non-Hodgkin Lymphoma and Leukemia for that

1 matter, both have been known to occur in farmers at a
2 higher rate way before glyphosate was introduced.

3 And I even go further to say that those
4 excesses have been observed way before chemicals were
5 being used on a wide scale in the U.S. We have to
6 take that into account in trying to tease out is
7 glyphosate contributing to that. It's not a simple
8 problem.

9 **MS. ALEXIS BADEN-MAYER:** I certainly
10 understand that. I'm not blind to that, yeah.

11 **DR. ERIC JOHNSON:** And the next thing
12 is that I'm really very disappointed that we're
13 talking about transparency, we expect the EPA to be
14 transparent, but we're not seeing that from industry.
15 I mean, this panel, I, myself, my colleagues, have
16 asked simple questions of industry people to see what
17 do your companies manufacture? What's their business?
18 And they've just been reluctant to just tell us
19 something we can just find on the internet. Really.

20 And last night somebody sent me an
21 email -- somebody was listening to this, they sent me
22 an email, in which they listed all 15 companies which
23 either manufacture or handle -- these are the type of
24 things that make people so suspicious of industry.

1 Really. I think it hurts industry more than anything
2 else. That's no transparency.

3 **DR. JAMES MCMANAMAN:** Thank you, Dr.
4 Johnson. I think that this is something, again, is
5 more appropriate for the charge question discussion.

6 Dr. Portier, did you have a question?

7 **DR. KENNETH PORTIER:** I just wanted to
8 make a comment about what you said about GOP. One of
9 the big issues that these panels always deal with is
10 publication bias. Independent researchers, when they
11 do research, if they find something positive, they
12 publish. If they find something negative, usually it
13 stays in their filing cabinet. The industry studies
14 kind of help balance that publication bias. We have
15 to worry that the things we're seeing that are in the
16 published literature is kind of one side of the issue.

17 And it's very hard for us to go to
18 individual researchers and dig into their filing
19 cabinets and say can you tell me have you ever done a
20 glyphosate study that you got nothing back from? And
21 why didn't you publish it? And 10 years ago, it's
22 very common that they don't publish when they don't
23 have a positive finding.

1 Because editors say you've got nothing
2 here to say, why do I care. Now it's more recently,
3 you're seeing much more push for negative results to
4 be published. But that's not uniform across the
5 published literature.

6 **MS. ALEXIS BADEN-MAYER:** So you're
7 trying to say that the companies would publish their
8 data in peer review journals but nobody will take
9 their studies because they show negative results?

10 **DR. KENNETH PORTIER:** Well, I'm not
11 going to infer what the companies do or don't want to
12 do. There's no incentive for them to publish it like
13 academics have.

14 **MS. ALEXIS BADEN-MAYER:** Maybe the
15 incentive should be that they have to publish to get
16 their data into a government regulatory process.
17 Because that's the only fair way to be able to compare
18 these studies is for the companies to have to publish
19 peer reviewed literature and then they get to have it
20 considered in the regulatory process. That would be
21 fair. What we have right now where we have
22 unpublished studies and that is the basis of the EPA's
23 decision, that is completely unfair.

24 **DR. JAMES MCMANAMAN:** Dr. Jett?

1 **DR. DAVID JETT:** Yeah, I just wanted
2 to -- Dave Jett, NIH, and I just wanted to question
3 something that you raised, Dr. Johnson that you
4 actually might be able to help with the answer. Do we
5 know if there's any increases -- maybe in any diseases
6 in organic farmers?

7 **MS. ALEXIS BADEN-MAYER:** Not that I'm
8 aware of. I'm sure that Monsanto would have put out
9 that data if they could show that organic farmers get
10 a certain type of disease more often than conventional
11 farmers. I'm sure if that data were available, it
12 would be plastered all over everything.

13 I'm guessing that -- you know, I was
14 just looking on cancer.gov, that's where I got the
15 information about farmers generally having lower rates
16 of cancer. They're out and about. They're healthy.
17 They're doing things. They're active. They probably
18 have better diets than most of us because they grow
19 their own food.

20 And my guess is that organic famers
21 don't -- but I will look that up because that's a
22 great research question and perhaps someone looking to
23 prove organic farmers are healthier has collated that
24 evidence. You know, I work at Organic Consumers

1 Association so I should have that at my fingertips if
2 it exists but I'll look to see if anybody's done that.

3 I did also want to respond to something
4 you said about how we actually have to look at the
5 evidence, we can't just say well farmers get
6 Non-Hodgkin Lymphoma more often and you mentioned that
7 farmers got Non-Hodgkin Lymphoma before glyphosate
8 entered the market.

9 The World Health Organization's study
10 is really strong. It shows evidence of cancer across
11 all three categories. We have the animal studies show
12 evidence of cancer, the epidemiological studies show
13 evidence of cancer, and the mechanistic studies in the
14 lab show evidence of cancer. There is certainly
15 enough evidence to link Non-Hodgkin Lymphoma to
16 cancer. And I'm not arguing that other chemicals
17 don't cause farmers to get cancers.

18 **DR. ERIC JOHNSON:** Well, the issue --

19 **DR. JAMES MCMANAMAN:** I think we have
20 to move on there now. To include some of the other
21 presenters. Thank you. So, yes, Dr. Spak?

22 **DR. DAVID SPAK:** I just wanted
23 to -- can I make one more statement about -- and I
24 apologize, I'm a little bit nervous. We do have a few

1 glyphosate-based products that are sold through Bayer
2 Crop Science. We had a divestiture of one of our
3 consumer products that had glyphosate -- that
4 contained glyphosate. Again, I just wanted to just
5 confirm that yeah, we do -- when it comes to whether
6 the manufacturing and the sourcing of the active
7 ingredient is through Bayer or through another source,
8 that's where I was out of my area of expertise and
9 that's handled by somebody else. I just wanted to say
10 that.

11 **DR. JAMES MCMANAMAN:** Yeah, thank you
12 for that disclosure. All right. Next up we have
13 Luther Markwart from Sugar Beet Growers Association.
14 And James Braille (phonetic) from the Natural
15 Resources Defense Council.

16 Mr. Markwart, you're first.

17 **MR. LUTHER MARKWART:** Thank you. My
18 name is Luther Markwart, I'm the executive vice
19 president of the American Sugar Beet Growers
20 Association and co-chairman of the Sugar Industry
21 Biotech Council. I'd like to thank the panel for the
22 opportunity to present to you today.

23 For the past 34 years, I've represented
24 all the sugar beet growers in the United States who

1 are family farmers in 11 states and for three years
2 prior to that I represented the growers in Michigan
3 and Ohio. And during nine years of my youth, I raised
4 sugar beets as a 4-H project on our small farm, hoeing
5 weeds alongside migrant labor. Those are my
6 credentials for working hard and hating weeds.

7 Our farmers produce sugar beets on
8 almost 1.2 million acres and they are also owners of
9 seven regional farmer-owned cooperatives that consist
10 of 22 processing factories and produce about 58
11 percent of all the sugar grown in the U.S. The
12 American sugar beet industry is essential to provide a
13 strategic commodity for our nation's food supply.

14 Weeds have always been our grower's
15 biggest agronomic problem in crop production. In the
16 mid-1990s, our grower leaders pressed Monsanto and the
17 independent seed companies to create Roundup ready
18 sugar beet seed. That meant adding one gene to the
19 27,421 genes in a sugar beet. Once it was deregulated
20 in 2005 and seed became available in 2008, we had the
21 fastest adoption rate of the technology of any
22 commodity anywhere in the world. Today we use 100
23 percent Roundup ready seed and the future of our

1 industry depends on the continued use of this
2 technology.

3 The main environmental benefits we have
4 achieved are 1) we've replaced 13 herbicides that were
5 used in different combinations and applied four times
6 a year. We would typically use three to four
7 herbicides per application which means there were 12
8 to 16 herbicides applied to the crop each year. Now
9 we typically use only glyphosate and it is applied
10 twice or at most three times per year. Glyphosate is
11 the safest alternative both for the environment and
12 the applicator compared to any of the crop protection
13 products we used in conventional sugar beet
14 production.

15 We've removed hand labor from our
16 fields, eliminating the exposure of field workers to
17 all pesticides and herbicides. By substantially
18 reducing tillage, emissions have been reduced from
19 fuel usage and kept carbon sequestered in the soil,
20 reducing greenhouse gasses. Along with reducing soil
21 erosion and conserving precious water resources.

22 It is also important to note that the
23 sugar derived from the sugar beet is free of any DNA
24 or protein. The sugar is the same as sugar derived

1 from conventional or organic sugar beets or sugar
2 cane. We've identified 25 specific environmental
3 benefits from using this technology and we submitted
4 the list on September 9th, 2015 to the National
5 Research Council Committee on Genetically Engineered
6 Crops under the National Academy of Sciences. A copy
7 of that document was simultaneously provided to EPA's
8 administrator, assistant administrator of chemical
9 safety and pollution prevention and the director of
10 pesticide programs for their review. I'm submitting a
11 copy of that today with my statement for your review.

12 I would also remind the panel that the
13 EPA has conducted two environmental assessments and a
14 full environmental impact study released in May of
15 2012 and ask that you refer to them for any further
16 assistance that you may need. We understand that your
17 primary focus is on the human safety of glyphosate.
18 Our farmers want the safest crop protection products
19 they can use because they and their families and
20 neighbors live in the environment where it is applied.
21 We know full well that for 40 years, no regulatory
22 authority agency around the world that has studied
23 this product views glyphosate to be a carcinogen.
24 This is precisely one of the important reasons we've

1 embraced the technology. Regulatory authorities in
2 the United States, Europe, Canada, Japan, New Zealand
3 and Australia have recently reaffirmed that glyphosate
4 does not cause cancer. We trust and embrace those
5 results.

6 Thank you for the opportunity to
7 present our views today.

8 **DR. JAMES MCMANAMAN:** Thank you. Any
9 questions for Mr. Markwart? All right. Next up is
10 James Braille from the Natural Resources Defense
11 Council.

12 **MR. JAMES BRAILLE:** Thank you this
13 esteemed panel for the opportunity to provide comment
14 today.

15 **DR. JAMES MCMANAMAN:** Oh, sorry. We've
16 got -- I guess we got James Braille, did you say?

17 **MR. JAMES BRAILLE:** Yes.

18 **DR. JAMES MCMANAMAN:** Oh, okay. I'm
19 sorry. I thought you said a different name. I
20 thought, oh, I got the wrong information.

21 **MR. JAMES BRAILLE:** No, I'm sorry.
22 Thank you for the opportunity and I know it's a
23 marathon so I'll be brief. I'm James Braille from the
24 Natural Resources Defense Council, Citizens

1 Environmental group based here in Washington. My
2 colleague Dr. Jennifer Sass is unable to speak today
3 so I am going to present a summary of her comments
4 today.

5 Her full report which is on the docket
6 is also being circulated to you currently as well as a
7 letter from Dr. Christopher Portier in response to an
8 industry report by Joseph Haysman (phonetic). So
9 please refer to our written comments for details and
10 I'll be brief in summary.

11 First, NRDC is concerned that EPA
12 violated its own Cancer Guidelines by dismissing
13 evidence of Non-Hodgkin Lymphoma in humans. Even the
14 meta-analysis of many epidemiological studies that was
15 sponsored by the agri-chemical industry Chang and
16 Delzel, 2016, reported a statistically significant
17 risk of NHL cancers when glyphosate exposed
18 individuals were compared with individuals never
19 exposed to glyphosate. IARC's analysis reported
20 similar results.

21 Second, NRDC is concerned that EPA
22 violated its own Cancer Guidelines when dismissing
23 evidence of elevated cancer in rodent studies. The
24 Cancer Guidelines say either a statistical trend test

1 or a pairwise test is sufficient to establish
2 statistical significance. However, EPA wrongly
3 rejected cancer events in experimental rodents that
4 was significant in a trend test if it wasn't also
5 significant in a pairwise test.

6 Third, and most importantly, NRDC is
7 concerned that EPA in some cases relied exclusively on
8 study summaries provided by the agri-chemical industry
9 without consulting the original studies or disclosing
10 the sponsorship of those summaries relied on. The
11 article by Kier and Kirkland, 2013 was sponsored by a
12 consortium of glyphosate manufacturers including
13 Monsanto.

14 Fourth, NRDC is pleased that EPA
15 requested more data and more scrutiny to fully
16 evaluate formulated products containing glyphosate
17 given the toxicity of surfactants. In fact, a report
18 submitted under contract to the USDA in 1997, 20 years
19 ago, warned that surfactants added to glyphosate
20 products made them much more toxic and warned that
21 surfactants -- that very little toxicity information
22 is available of the formulated products.

23 Earlier this year, in July 2016,
24 European member states voted to ban certain

1 surfactants such as POE-tallowamine from glyphosate
2 based products including Roundup. Unfortunately, here
3 in the U.S. it continues to be allowed as an inert
4 ingredient, essentially unregulated in pesticide
5 products despite possible toxicity.

6 The point that was made earlier on the
7 board that I heard a few minutes ago, about
8 publication bias. I think that it's important to
9 examine and that we have a duty to examine all
10 possible injury to citizens and to investigate that
11 fully because financial bias is also a possibility.

12 In conclusion, preventable harm to farm
13 workers, pesticide applicators and the public will
14 continue if EPA fails to address the scientific
15 evidence of cancer hazard. Thank you.

16 **DR. JAMES MCMANAMAN:** Thank you. Any
17 questions for this presenter? Okay. If not, then
18 thank you both very much. All right. Well that
19 concludes our public commenter's statements,
20 presentations. We'll take a break now for 15 minutes
21 so what, be back at five till.

22
23 [WHEREUPON A BREAK WAS TAKEN]
24

1 **DR. JIM MCMANAMAN:** We're going to get
2 started. We have a lot of ground to cover and some
3 challenging questions.

4 **DR. LUOPING ZHANG:** What do we do with
5 this? It's the registration document?

6 Oh, okay.

7 **MR. STEVEN KNOTT:** This is Steve Knott,
8 the DFO. For the panel members, I just wanted to
9 provide a clarification for one of the documents that
10 was just distributed. It's the glyphosate summary
11 document for registration review. That was a written
12 comment that was sent to one of the panelists in an
13 email, related to the registrants of glyphosate. That
14 is being provided as a written comment and it will be
15 placed in public docket with the email and the
16 registration review document. So just to clarify what
17 that was.

18 **DR. JIM MCMANAMAN:** Okay. If the
19 Agency is ready, we'll move into the charge questions.
20 And just as a reminder, the charge questions are meant
21 to be a discussion amongst panel members, related to
22 those specific charge questions, and not to involve
23 either the Agency or any of the outside public
24 presenters.

1 It's just us talking amongst ourselves.
2 They get to ask the questions --

3 **DR. LAURA GREEN:** I'm sorry. You can
4 or cannot ask them questions?

5 **DR. JIM MCMANAMAN:** Well, they'll have
6 their chance for them to ask clarifying questions, but
7 in the discussion of the charge questions, it's the
8 panel.

9 **DR. LAURA GREEN:** We don't ask them
10 questions.

11 **DR. JIM MCMANAMAN:** That's right.

12 **MR. STEVEN KNOTT:** Just to clarify that
13 a little more. They're going to ask the charge
14 questions. You will begin your discussion. If there
15 is a need for clarification, you can ask the Chair if
16 that's a possibility.

17 **DR. JIM MCMANAMAN:** But they'll read
18 the charge questions into the docket so that we have
19 that into the public record.

20 And with that --

21 **MS. DANA VOGEL:** I'm reading the first
22 question.

23 **DR. JIM MCMANAMAN:** Okay.

24 **MS. DANA VOGEL:** This is Dana Vogel of

1 the Health Effects Division. Charge Question 1: The
2 Agency has collected a multitude of studies that may
3 inform the human carcinogenic potential of glyphosate
4 through a systematic review of the open literature and
5 toxicological databases for glyphosate and glyphosate
6 salts as described in Section 2.0.

7 Please comment on the agency's methods
8 to collect references for this evaluation, including
9 the completeness, transparency, and appropriateness of
10 these methods. Please also comment on whether there
11 are additional relevant studies, that can inform the
12 human carcinogenic potential of glyphosate, that were
13 not included in the current evaluation.

14 **DR. JIM MCMANAMAN:** Okay. The lead
15 discussant on this is Dr. Green. And the associate
16 discussants are doctors Sheppard and Zeltermann.

17 Dr. Green.

18 **DR. LAURA GREEN:** Thank you. Dr.
19 Chairman. Guess that's the right way to say it, and
20 EPA. And I also just wanted to say on behalf of us
21 panelists, we really appreciate all the comments that
22 you all have provided in writing, orally. We even
23 like the musical interlude, if there are any singers
24 still left.

1 We are a little overwhelmed by the
2 amount of information here. And I think we've all
3 worked pretty hard, but there's a lot of stuff here.
4 And so, if some of our comments may be seen in
5 opposition to each other, or that we haven't formed a
6 consensus about certain things, I would like to say,
7 at least from my point of view, that there's still
8 work to be done. And we're going to try very, very
9 hard to say everything over the next day and-a-half, I
10 guess, that we are thinking. But I'd like to say, at
11 least on my behalf, that things that we've gotten
12 today, for example, that I haven't had a chance to
13 digest, we may have additional thoughts.

14 I'm going to try very hard to put all
15 my thoughts out there, and I'm sure my fellow
16 panelists are going to do the same. But I guess I'm
17 asking a little indulgence, or at least a little
18 foreshadowing or something. Is that okay?

19 Okay. Having said that, I'd like to
20 start answering Charge Question 1 and ask my fellow
21 panelists to weigh in as they would like. You've
22 asked about completeness of literature, review and
23 collection, transparency, and appropriateness of your
24 methods. I'll start with the easy stuff.

1 Transparency, yes: A+. You were very
2 transparent and helpful to us, in writing
3 specifically, what your literature search strategy
4 was; why you included what you included. Why you
5 excluded certain things or minimized certain things.
6 I think transparency, unless any of my fellow
7 panelists feel otherwise, I think there are no issues.

8 Let me just ask around the table. Is
9 there disagreement in that?

10 **DR. JIM MCMANAMAN:** I think they'll get
11 a chance to say if there is a disagreement if there
12 is.

13 **DR. LAURA GREEN:** Oh. I should just
14 keep going?

15 **DR. JIM MCMANAMAN:** You just keep
16 going.

17 **DR. LAURA GREEN:** Okay. Next, you've
18 asked whether there are additional relevant studies
19 that could inform your assessment. Well, yes, of
20 course there are. You know about many of them because
21 they will be picked up in your search strategy in your
22 searching. And I assume that you have been updating
23 that searching, either in a formal way or an informal
24 way.

1 As is well known, there have been
2 publications throughout 2016 that are potentially
3 relevant to your analysis, but again, they'll clearly
4 be picked up in both your formal and informal
5 searching. I'm speaking not only of the publication
6 by Chris Portier and many colleagues, which I think
7 was cited in your draft, but only in a very limited
8 way.

9 And if I can just say, as a little
10 digression, I wondered why that publication was
11 mentioned in only a very limited fashion. And I'm
12 suggesting maybe it requires a little more discussion
13 on your part. But it's possible that maybe you just
14 got the paper, you know, as you were finishing the
15 draft. I'm not sure because, you know, a lot happens
16 in a short period of time.

17 I at least am willing to give you the
18 benefit of the doubt, assuming that you mostly wrote
19 this draft in 2015 and then you got a whole bunch of
20 new stuff in 2016 and you didn't have a lot of time to
21 assimilate it. If so, we feel for you, but we assume
22 that now that it's almost 2017, you'll have time to
23 assimilate the 2016 publications.

24 So not only Chris Portier and

1 colleagues, but obviously, the expert analyses by John
2 Acquavella and colleagues, Gary Williams and
3 colleagues. And I forget the other two main authors,
4 but, you know, the stuff from critical reviews in
5 toxicology. Again, that's clearly going to be picked
6 up by your searching strategy, formal or informal.

7 But I would like to mention at least
8 one paper, which happens to be by Dr. Zhang -- no
9 relation, apparently, to Luoping Zhang, at least that
10 we know of -- that would not come up in your search
11 strategy. I brought a copy with me, and obviously,
12 I'm happy to email it, but I'm happy to give you a
13 hard copy; it came out just two months ago. It's from
14 the Beijing Institute of Technology. The first author
15 is Chao Zhang, and it's entitled, "Health Effect." I
16 think it's supposed to be Health Effects. But it's
17 "Health Effect of Agricultural Pesticide Use in China:
18 Implications for the Development of GM," where "GM" of
19 course, stands for genetically modified -- not General
20 Motors -- of GM Crops.

21 This is one of, I think, a series of
22 papers. And I don't know if they're all -- this one
23 happens to be in English, which is how I know about
24 it. I think you would not find it in your search

1 strategy because glyphosate is not mentioned anywhere
2 in the title. And it doesn't have any of the other
3 search terms that I think you use. I'm wondering
4 whether in you search strategy, this next go around,
5 you can search through the abstracts also because
6 glyphosate is clearly in the abstract. This is, by
7 the way, published in Online in Nature.

8 As I think a lot of you know,
9 nature.com now has an online publication series called
10 Scientific Reports. They are peer reviewed. This was
11 submitted in June. It was accepted in September and
12 published two months ago now, October 10th of 2016.
13 And I bring it up because it looks to me to be,
14 possibly, the tip of what I hope is an iceberg of
15 reliable data from outside of the U.S. and possibly
16 outside of the English-speaking world. I'm not sure
17 about the latter.

18 But I just want to briefly talk to you
19 about this and then, you know, obviously, ask you to
20 look at this paper. These investigators from the
21 Beijing Institute of Technology went to three
22 provinces in China, identified farmers who had high,
23 medium, and low uses of pesticides and herbicides of
24 all kinds. Divided the groups not only into high,

1 medium and low, but into six different categories.
2 And in particular, with regard to herbicides, there's
3 a glyphosate use category and a non-glyphosate use
4 category and then other herbicides, including
5 biological materials, with which I'm less familiar so
6 I'm not going to speak about them with any expertise.

7 These investigators looked at 35 health
8 indicators -- oh, I should say they note that they do
9 not have biological exposure data. They don't have
10 urine or blood from any of these farmers and they note
11 that that's a limitation, but they do have pretty good
12 questionnaire data. I would say as good as any of the
13 questionnaire data, frankly, in any of the studies
14 we're looking at. Otherwise, from Scandinavia and the
15 Ag Health Study in the U.S.

16 They have good questionnaire data.
17 They asked all the farmers to keep, you know, very
18 detailed records of what they used. They looked at 35
19 health parameters; none of them bear directly on
20 carcinogenic risk. But I'm hoping that if you all can
21 communicate with these investigators and maybe some of
22 the epidemiologists in your units, may actually know
23 some of these investigators.

24 They're all in China, but as I said,

1 the article is written in English and my guess is
2 that, you know, somebody in EPA probably knows some of
3 these folks. I would think this might be a very
4 important cohort for getting information about things
5 like, you know, chromosomal abnormalities and
6 circulating lymphocytes or something like that, which
7 was not the subject of this paper. This paper looked
8 at renal function, nerve conduction studies.

9 Anyway, there's a lot there. And as
10 Dr. Johnson and others have been struggling with --
11 well, speaking for myself, I don't think that any of
12 the existing epidemiology studies are nearly as
13 helpful as they would be if they were high level
14 exposures like in manufacturing workers. And absent
15 that, it's possible that some of these Chinese
16 studies, especially given high, medium, and low
17 exposure rates, and given good records, might be
18 informative.

19 I'd very much like that to be added to
20 your list of papers to be thought about, I guess.

21 Okay. Let's see.

22 **DR. LUOPING ZHANG:** Could I just add
23 one comment on your -

24 **DR. JIM MCMANAMAN:** Sorry, not at this

1 time. The way it's organized is that the people
2 involved in the charge questions get a chance to
3 comment and then we'll open it up to the panel to
4 comment. That's how the game is run.

5 **DR. LAURA GREEN:** You're the wrong
6 Zhang. Okay. I'll try to be more brief because I do
7 want to leave lots of time, obviously.

8 Okay. Next, I want to talk a little
9 bit about the scope of your analysis. We are of mixed
10 minds, I think, about your scope. We understand that
11 you need to limit yourselves to the active ingredient,
12 which is technical glyphosate [sic] or glyphosate
13 acid. But as I've said before and I continue to feel,
14 a salt is not a salt is not a salt. And if it were
15 just a simple sodium salt, for example, of glyphosate
16 acid that were used commercially, you know, it's all
17 dissociated; who cares?

18 But I'm not completely convinced that
19 an isopropylamine conjugate, even if it is completely
20 dissociable as a salt, I'm not completely convinced
21 that that is identical in all toxicologic and
22 epidemiologic criteria characteristics with regard to
23 a simple salt or the acid itself.

24 I'm wondering if there's a middle

1 ground and I'm wondering if maybe your analysis could
2 be extended just a little bit to include not only
3 glyphosate acid, but isopropylamine as a chemical
4 because obviously, it is. I mean, to amino propane,
5 right, is a chemical. And if in fact -- and I do not
6 know this to be the case -- but if in fact, most
7 formulations are of the isopropylamine salt, then it
8 seems to me that slight widening of your scope is not
9 too much to ask.

10 I've been thinking about it a lot and I
11 actually looked into whether isopropylamine has ever
12 been tested by the National Toxicology Program, right.
13 Turns out it hasn't been. That's kind of weird. And
14 it turns out, further, that the NTP considered testing
15 isopropylamine a long time ago. I can't remember, the
16 '80s or the '90s. But it decided, nah, it doesn't
17 rise to the level of importance so we're not going to
18 look at it. And they went out and looked at some
19 other secondary amine. Okay, because you remember it
20 was very fashionable to be concerned about secondary
21 amines because they can nitrosate under certain
22 conditions and some nitrosamines are in fact, potent
23 carcinogens.

24 I understand the NTP's logic at the

1 time to kind of say eh, we don't really have the time
2 or the money or the interest. But it's not entirely
3 clear to me now, today, in the 21st century, if again
4 there is this much isopropylamine salt in use. It's
5 not entirely clear to me that isopropylamine is no
6 longer all that interesting.

7 As I said, I've looked, I cannot find
8 any cancer bioassays on isopropylamine. You should
9 look to, because maybe I didn't look hard enough, I
10 don't know a lot about the metabolism of
11 isopropylamine, either in the gut or by mammalian
12 enzymes in the liver and elsewhere, but you can
13 imagine it ultimately goes to probably acetone and a
14 few other things. It's probably benign.

15 I mean, I don't mean to make a mountain
16 out of molehill, if that's the right expression, but I
17 don't want two amino propane or isopropylamine to get
18 a total pass. Because again, I think it's -- well
19 actually, let me make it more clear. To my mind, as a
20 toxicologist, glyphosate anime is so darn nontoxic
21 that it's hard for me to believe that isopropylamine
22 isn't more toxic, right. I mean, just because it's
23 not sexy, it doesn't mean it isn't more toxic.

24 I don't think it's a lot of extra

1 burden on the agency, so I at least would like you to
2 expand your scope to specifically look both at studies
3 that look at the absorption distribution metabolism
4 and elimination of not only glyphosate acid, but
5 glyphosate isopropylamine. Because as I've said, to
6 my mind, it's certainly more water soluble.

7 Obviously, isoelectric point is much higher so you'd
8 expect better absorption. I assume it's used in the
9 formulations because it is more soluble and more
10 bioavailable, at least to the plant.

11 It's a little disturbing to me that in
12 the ADME section of your report, there is no, unless I
13 missed it, there's no mention of absorption,
14 distribution, metabolism and elimination of
15 isopropylamine salt of glyphosate. I imagine you have
16 that data and maybe you just didn't think it was
17 important. And maybe it isn't, right. I could be all
18 wet about this. But again, because that's the thing
19 that's actually used in commerce, not the acid, which
20 at some level is just going to precipitate out, right.

21 And let me say I think it goes the
22 other way as well. My understanding, incomplete as it
23 is, about the noncarcinogenic toxicity of glyphosate
24 is that early on, pathologists were seeing salivary

1 gland changes at very high doses in dosed -- I think
2 it was rats, not mice, but I could be wrong. And at
3 first that was attributed to glyphosate, but then
4 someone realized, wait a minute, it's just the pH.
5 It's just the pH effect. All right. I mean, these
6 are really high doses, after all. And with that much
7 glyphosate acid, you're going to have a nonspecific
8 effect of the fact that, you know, as you towards
9 saturation, it's like pH 2, which is not good for your
10 tissues, except if they're in your stomach, right.

11 I think you can get both artefactual
12 results focusing only on the acid, which are actually
13 irrelevant. And I think it's possible that we're also
14 missing something, because, again, we're not looking
15 at the more neutral compound. I mean, it's just
16 (inaudible) and it's not neutral, but you know, it's
17 much closer to neutral than glyphosate acid. So
18 anyway, you get my point.

19 Let me go a little further because some
20 of us on the panel would like you to really expand the
21 universe and look at surfactants and all the different
22 formulations. My own opinion is that that is not
23 practical, and I don't think it's EPA policy. I don't
24 know a lot about FIFRA policy, but my incomplete

1 understanding is that, you know, you care about the
2 active ingredient. Maybe, hopefully you care about if
3 conjugants are different, but, you know, easy to
4 study, you'll look at that. But you do not ask your
5 registrants to give you test data on potentially
6 hundreds of different formulations.

7 I at least, don't think you need to
8 expand your scope to surfactants and other things.
9 But I would say something else, which is your document
10 is a little schizophrenic. Because it says on the one
11 hand we're only focusing on the active ingredient.
12 But obviously, you're not, because all the
13 epidemiology studies, by definition, involve
14 formulations.

15 You do have a little bit of a mismatch
16 and it's okay, but I think the way to resolve the
17 mismatch, if I can suggest, is that if and only if
18 there are cancer bioassays on a formulation, you
19 certainly should include those. I mean there are, I
20 don't know how many scores, possibly hundreds of
21 studies, on glyphosate formulations involving other
22 endpoints that don't involve you, right. I mean, not
23 only ecotoxicology studies, but, you know, effects on
24 the nervous system or whatever. I mean, I'm not

1 suggestion you do that.

2 But to the extent that you're concerned
3 about carcinogenicity, and to the extent that there
4 may be bioassays of glyphosate-based formulations, I
5 would believe that it would be appropriate for you to
6 include the bioassay data; just as you've, by
7 implication, included the epidemiology data because
8 you have no choice. As has been determined ad nauseam
9 now, apparently, neither you nor anyone else has
10 access to glyphosate workers or people exposed
11 uniquely to glyphosate outside of a glyphosate-based
12 formulation.

13 Again, to make a more, I would say
14 holistic and coherent database, if there are
15 toxicology studies on glyphosate-based formulations
16 that bear on cancer -- not other stuff, but the bear
17 on cancer -- I feel they should be included. The
18 natural segue now is to Séralini, the infamous study
19 that was published and then retracted and now
20 republished.

21 I believe Dr. Sheppard mentioned
22 earlier in this meeting that you all should consider
23 it. I agree. I happen to think it's a crappy study,
24 but that's my own opinion. I should not say crappy,

1 should I?

2 I happen to believe that it's a
3 compromised -- well, I happen to believe that the
4 probative value of that study is limited. I should
5 say it in a more distinguished way. I apologize, Dr.
6 Chairman, for saying something -- I grew up in New
7 Jersey, so it's obviously, isn't it?

8 **DR. JIM MCMANAMAN:** Thank God it wasn't
9 New York.

10 **DR. LAURA GREEN:** Touché. Westfield,
11 New Jersey, Exit 135. Okay. I personally think that
12 Séralini group is biased. I think their data are of
13 very limited probative value, but that's only my
14 opinion. And it seems to me, clearly relevant.

15 I feel you should put the study in, you
16 should discuss its strength and weaknesses, you should
17 do whatever you want with it, but I don't think you
18 should ignore it because it's back in the literature.

19 Okay. Let me see if I have other
20 things. Sorry, I talked so long that my computer
21 timed out on me. Okay. Yes. One of us panelists
22 noted there's another Séralini group study by
23 Benedetti and colleagues (2013). You consider it to
24 be of low quality ranking and you didn't evaluate it

1 in detail. I agree with you. I don't think it's a
2 reliable study that requires much evaluation, but at
3 least one of my fellow panelists disagrees. He or she
4 asks that you at least say something about it.
5 There's another Séralini study, Mesnage, I think or
6 Mesnage -- I don't know how to say it -- et al.
7 (2014), same thing.

8 And then there's another study that I
9 think is also of limited probative value, but you
10 should perhaps, see for yourself: Cox and Surgan
11 (2006). And obviously, in our written comments, we'll
12 provide the full citation if those are not easy for
13 you to find.

14 Okay. Next, one of my fellow panelists
15 noticed that one of the public comments seems to
16 allude to studies done, "From areas in Latin America
17 where glyphosate is sprayed heavily." It's not clear
18 what the refers to, but there is at least one
19 researcher mentioned in the news article which
20 provides that limited information. He's Dr. Fernando
21 Minas at the National University of Rio Cuarto in
22 Argentina. And also, someone mentioned -- and again,
23 these will be in our written comments -- from the
24 Pontifical Catholic University in Quito, Ecuador, we

1 don't know if either researcher or either group has
2 reliable data of probative value, but we ask you to
3 check it out.

4 Next, in terms of your search criteria
5 and exclusion criteria, I've already mentioned, but
6 let me reiterate, that while I do appreciate that
7 using exclusion criteria such as the word "aquatic"
8 gets rid of a lot of irrelevant stuff, I object to you
9 using the word "water" as an exclusion criterion, so
10 please put that back in. Because obviously, studies
11 that have titles such as, "A Study of Glyphosate in
12 Drinking Water," should not be excluded. And by your
13 search criteria, it would be. That's just weird.

14 By the way, you're going to get a lot
15 of other stuff when you include water. I apologize
16 ahead of time for the poor peon who has to go through
17 500 irrelevant papers. But, you know, that's why you
18 get paid the big bucks.

19 **MS. DANA VOGEL:** When do the big bucks
20 arrive?

21 **DR. LAURA GREEN:** I'm sorry? When do
22 the big bucks arrive? Yes, I don't think our panel is
23 allowed to give you a raise. Trust, if it were up to
24 us, you'd have it.

1 Okay. One of my panelist's notes that
2 you noted that there are 18 studies that you've relied
3 on, but you don't have access to the primary reports.
4 My fellow panelist, he or she, recommends that you
5 sequester those in some way and see whether taking
6 them out of your analysis, either quantitative or
7 semi-quantitative or qualitative, whether removing
8 those changes your opinion and if so, how?

9 Okay. I've mentioned this also, but
10 let me stress it because obviously, lymphoma is kind
11 of the big elephant in the corner or maybe front and
12 center. Whenever we speak about lymphoma genesis,
13 obviously, we speak about the immune system. I think
14 Monique may have mentioned that there's at least one
15 paper that you're aware of or maybe you've done a data
16 evaluation report on that speaks to immunotoxicity; I
17 at least would love to see an entire section, however
18 large or small, in your report on all test regarding
19 glyphosate and the immune system. Because frankly,
20 when you get to you Section 5, like what does it all
21 mean and you're sort of saying, well, we don't really
22 think the lymphoma data are real, it would be nice to
23 know what the immunotoxicity say.

24 And not to put too fine a point on it,

1 but as you know, the only well-known, bona fide, huge
2 risk factors for non-Hodgkin lymphoma are things like
3 HIV/AIDS, profound immunosuppression in organ
4 transplant patients. I mean, as you may know, there,
5 we're looking at relative risks or odds ratios. I'm
6 not sure what the right term is, frankly, because I'm
7 just a toxicologist. But we're looking at relative
8 risks for people with AIDS getting an HL of 60.

9 Okay. Not 1.5 or 1.8, 60, right. And
10 for people who are immunosuppressed because they have
11 organ transplantation, we're looking at relative risks
12 on the order of three to 300. Okay.

13 We know how to cause lymphoma in
14 people. You really mess with their immune systems.
15 And I will go further and say that to the extent that
16 is believed that 237 ATCDD is a lymphomogene about
17 which there's some controversy. But to the extent
18 it's believed that 237 ATCDD or dioxygen is a
19 lymphomogene, as I think everyone knows, it's a heck
20 of a immunotoxicant at very low levels.

21 To my mind, as a toxicologist, if
22 something is a bona fide lymphomogene, it's really
23 going to mess with your immune system at realistic
24 levels. Okay. If you have data on glyphosate, either

1 at realistic levels or mega levels or anywhere in
2 between and the immune system, this toxicologist, at
3 least, would be really edified to read it.

4 Okay. I want to suggest one other
5 thing that you do when you go back to your literature
6 searching. And this, I think, will be more
7 informative and you won't have to wade through 500
8 irrelevant papers. As Dr. Johnson has mentioned, and
9 I believe Dr. Infante mentioned as well, going back
10 many decades, more often than not, lymphoma seems to
11 pop up in farmers. It's not universally true, or
12 farming would be an IARC-established cause of
13 lymphoma, which it isn't.

14 Okay, but when you look across the
15 farming literature, and there are dozens of papers,
16 going back to the '50s, certainly the pre-glyphosate
17 era. Two things are true; first, farmers don't get a
18 lot of ordinary cancers like lung cancer because they
19 don't smoke much. And you know, they're out getting
20 exercise, et cetera.

21 But they do, more often than not, get
22 excess lymphoma. It's not a lot. It's odds ratios of
23 like 1.5, 1.8, but it's exactly, it's exactly the
24 relative risk range we're looking at here. Okay? I

1 feel that you should have a section in your report
2 that speaks to NHL and farming as a general topic,
3 okay. because there are a lot of issues here and, as
4 we'll talk about later, it's really complicated.

5 I think right now the reader of your
6 document doesn't understand that there's a much larger
7 literature on farming and NHL. And whether it's other
8 pesticides or whether it's the very different
9 antigenic environment of a farm -- which I happen to
10 think it's what's relevant here -- or whether it's
11 animal viruses which may have some crossover potency
12 with regard to some forms of non-Hodgkin lymphoma.
13 There's a lot going on a farm, both viral, bacterial,
14 microbiological, fecal matter, manure, right? You
15 name it.

16 I feel you need that in context. Again,
17 and it's because all of the data we're talking about
18 are of farmers. We don't have glyphosate-exposed
19 people. We have farmers who use glyphosate. That's a
20 different thing. Farmers who use glyphosate are not
21 glyphosate exposed people the way, for example, that
22 benzene exposed people were benzene exposed people
23 back when Dr. Infante and others were discovering them
24 dropping deal of leukemia because they were, you know,

1 exposed to 1,000 parts per million of benzene in air.
2 You have a very different epidemiologic dataset here.

3 I wanted to mention one cool thing.
4 When you do that literature search, which I trust
5 you'll do, on farming and lymphoma, you learn
6 something really cool; which is the country that has
7 the largest rate of lymphoma in the world is New
8 Zealand, followed by Australia. I started thinking,
9 well, maybe it's all due to sheep. But anyway, the
10 point is, who knows? I think we could all write a
11 grand proposal right now. The effects of proximity to
12 sheep on non-Hodgkin lymphoma risks.

13 Oh, yes. One of my panelists had the
14 very helpful suggestion that EPA ought to get itself
15 the software that allows you to write a paper where
16 you can embed the reference and click on that and then
17 it comes up with the abstract or maybe the whole
18 article online. I don't know whether you all have
19 that.

20 And what's it called, without
21 identifying who you are?

22 **DR. LIANNE SHEPPARD:** It's called
23 HeroNet. And EPA uses it for other panels.

24 **DR. LAURA GREEN:** Okay. The no longer

1 anonymous fellow panelist says that you all have it.
2 Maybe you guys in pesticides don't have it, but
3 someone's got it over in air and radiation, or where
4 it is. Or maybe ORD. Anyway, if you know what she's
5 speaking of, then note it. And if not, please ask Dr.
6 Sheppard afterwards.

7 Okay. I think those represent my
8 comments. And I guess now I'd like to know what other
9 people say.

10 **DR. JIM MCMANAMAN:** Okay. Dr.
11 Sheppard, do you have anything to add?

12 **DR. LIANNE SHEPPARD:** Well, my
13 colleague was very thorough and actually covered, I
14 think, the majority of my written comments already.
15 To elaborate a little bit more, the HeroNet database
16 is an incredibly useful tool, where every reference in
17 the document is hotlinked to the database. And if
18 somebody has access, they can download the pdf
19 document right there. And if they don't have
20 permission, they can at least read the abstract and
21 get the reference.

22 It's been -- I speak for myself, but
23 I'd imagine some of my colleagues on the panel feel
24 the same way. It's been incredibly time consuming to

1 navigate the docket and to find the materials and to
2 actually check things out. And our time, as
3 panelists, is much better spent reading the literature
4 and thinking about the issues, then it is trying to
5 find materials which are available but are not
6 referenced in a way that's easy to find and involves a
7 fairly time consuming search. I benefit from being at
8 a large university, so I have a really excellent
9 library behind me and I've actually made more use of
10 that in getting materials, at least, in the peer-
11 review literature than I have from the materials
12 provided by EPA.

13 Using the HeroNet database, which is
14 already available within EPA, would be an incredible
15 advancement for you all and I strongly encourage that.

16 The only other point I wanted to make
17 is there's a paper, Buonsante (2014), an environmental
18 research which is titled, "Risk Assessment Insensitive
19 Toxicity Testing May Cause it to Fail." And in there,
20 it cites a paper by Benedetti (2004). I'll get you
21 the exact reference. The effects of subchronic
22 exposure of Wistar rats to the herbicide glyphosate-
23 biocarb. And the reason I bring that up is because it
24 suggests that the levels for risk assessment, the

1 LOAEL/NOAEL should be much lower based on that paper.
2 I think that that's also a Benedetti paper that should
3 be looked at. And I don't have any further comments
4 on this charge question.

5 **DR. JIM MCMANAMAN:** Thank you, Dr.
6 Sheppard. Dr. Zelterman.

7 **DR. DANIEL ZELTERMAN:** Well, I don't
8 have much more to add. Dr. Green was quite thorough,
9 and definitely appreciate that.

10 By far, EPA seems to have a very
11 thorough access to complete published data, and
12 certainly, the historic 10G FIFRA data documents. But
13 simply having access to the document that you put in
14 your filing cabinet is very different from saying
15 there was access to an independent review, an
16 independent analysis of those data. I was missing so
17 much of that.

18 Going forward, there seems to be little
19 incentive for independent research, because there's
20 already a lot of data indicating that there's lack of
21 an effect. What was it Dr. Portier was talking about,
22 the publication bias. If you're going to look for
23 something you want to publish significant findings.
24 There's very little incentive for someone independent

1 of the agency, or independent of the industry, to go
2 out and spend a lot of time analyzing data, only to
3 find that there's nothing to be found.

4 All right. To drive home this point,
5 let me point out that if you're going to try and show
6 safety, there's an incentive for performing small,
7 sloppy studies with lots of variability that are going
8 to mask the exposure effect. But if you want to show
9 an exposure effect, you have to have large sample
10 sizes, high quality precision to minimize the amount
11 of statistical variability. And these are very
12 conflicting objectives.

13 I don't know how we're going to get
14 around that, except, perhaps, saying that you really
15 do need an independent analysis of the existing data.
16 I don't know how you get by that, but that's something
17 that's definitely lacking and missing. We have access
18 to the 10G data, and it would be very much worthwhile
19 to see greater analyses of that by independent bodies.

20 Thank you.

21 **DR. JIM MCMANAMAN:** Okay. At this
22 point, I'll open it up to the entire panel for
23 comments. Anyone has anything to add to the charge
24 question. Comments? Dr. Zhang?

1 **DR. LUOPING ZHANG:** It's maybe not for
2 charge question, just following Dr. Green's found in
3 the Zhang, et al. paper. But I really thank you for
4 constantly mentioning that China or the study from
5 China, as everybody knows, China is a big agricultural
6 country, so the pesticide use definitely, you know,
7 globally, we shouldn't be ignored.

8 But I wanted to add a comment. Now, my
9 experience to searching for a research paper published
10 in Chinese or from China, most of the paper actually
11 does have English abstract in the title. Generally,
12 from the (inaudible), you actually shouldn't miss it,
13 at least for the abstract. But if a paper is
14 published in Chinese, you may have a little bit of
15 trouble to find the original, but I think there is a
16 way to request. I mean, that's how I do it. If I
17 want to find a Chinese article, I just send it to my
18 Chinese collaborators and their students can usually
19 find it for me.

20 But at least, for you to identify if
21 the paper is relevant or not, you can easily do that.
22 But also, Dr. Green was mentioning the papers from
23 Nature, published in Nature. I just wanted to make
24 sure if it is really Nature Journal we're talking

1 about. Because there is another nature, it's a
2 Chinese Nature that is a totally different journal.
3 Since we happen to see your paper, so I don't know. I
4 just want to mention it here.

5 **DR. JIM MCMANAMAN:** Okay. Other
6 comments? Dr. Parsons.

7 **DR. BARBARA PARSONS:** I have to get
8 this out of the way. As an FDA employee, I have to
9 say the views and opinions --

10 **DR. KENNETH PORTIER:** Please get closer
11 to your mic. I'm sorry.

12 **DR. BARBARA PARSONS:** I'm sorry.

13 **DR. KENNETH PORTIER:** I'm getting old.
14 I can't hear.

15 **DR. BARBARA PARSONS:** The views and
16 opinions I'll be expressing today and tomorrow are my
17 own. My comments are not a formal dissemination of
18 information by FDA and does not represent agency
19 position or policy.

20 **DR. JIM MCMANAMAN:** You may need to
21 bring the mic a little bit closer still.

22 **DR. BARBARA PARSONS:** Still closer.

23 **DR. KENNETH PORTIER:** You need a
24 smaller computer.

1 DR. JIM MCMANAMAN: Yeah, there you go.

2 DR. BARBARA PARSONS: So I want to echo
3 something Dr. Green said in that I was also struck by
4 this question of the scope of the evaluation as it
5 relates to glyphosate technical and the formulations.
6 I think that's a critical thing. And I agree that
7 epidemiology is all based on the formulations, and the
8 rodent carcinogenicity data and the genotoxicity data
9 are on glyphosate technical. There's a disconnect
10 there.

11 I would like to say we should analyze
12 any available data on the formulations, in terms of
13 rodent carcinogenicity and genotoxicity. But at the
14 same time, I have to say that I don't think I could've
15 handled any more data.

16 I still think that you should consider
17 that and at the minimum, in your document, explain why
18 you chose to do it the way you did and maybe what is
19 your plan to come to terms with this disconnect.

20 Thank you.

21 DR. JIM MCMANAMAN: Yes, Dr. Sheppard.

22 DR. LIANNE SHEPPARD: In my previous
23 comments I also neglected, since you covered the
24 formulations, I neglected to iterate that I also am

1 strongly encouraging that the formulations be
2 considered; at least what's published in the open
3 literature should absolutely be evaluated in addition
4 to what has been evaluated, because that's evidence
5 that will help us to understand. If for no other
6 reason, it's evidence that will help us to understand
7 the epidemiology and therefore, it's really important
8 that that be considered and not excluded.

9 **DR. JIM MCMANAMAN:** Other comments?

10 Okay. I'll go back to the Agency.

11 **MS. DANA VOGEL:** We're good at this
12 time. Thank you.

13 **DR. JIM MCMANAMAN:** Okay. All right.

14 Next charge question, Charge Question 2.

15 **DR. ANWAR DUNBAR:** Okay. Charge
16 Question 2. As a part of its analysis, the Agency has
17 considered 58 individual epidemiological studies
18 investigating the potential for an association between
19 glyphosate exposure and numerous cancer outcomes.

20 Detailed study evaluations were
21 performed to determine overall quality rankings for
22 relevant studies. These evaluations took into
23 consideration study characteristics, including study
24 design, exposure assessment, outcome assessment,

1 control for confounders, statistical analyses, and
2 risk bias.

3 At this point, I just want to make a
4 note that it's 22 not 23 studies for the next
5 sentence. Twenty-two studies were considered
6 informative with regard to the carcinogenic potential
7 of glyphosate. A) please comment on the agency's
8 review and evaluation process of relevant epidemiology
9 studies to inform the human carcinogenic potential of
10 glyphosate.

11 **DR. JIM MCMANAMAN:** Okay. The lead
12 discussant on this charge question is Dr. Johnson.
13 Associate discussants are doctors Jett, Portier,
14 Sheppard, Taioli, and Zhang.

15 We'll start with Dr. Johnson. Let me
16 encourage and just remind the panel to address your
17 comments to the other panelists rather than to the
18 Agency; for a couple of reasons. One is, that's what
19 we're supposed to do; but two is, the reason why we're
20 supposed to do that is because it helps generate
21 discussion and promote discussion amongst ourselves
22 about the specific comments and the relevancy of the
23 data.

24 I think it's just a good habit to get

1 into. Although, it's just so easy to say oh, they're
2 sitting over there, we'll talk to them. But just
3 pretend that they're not there for now.

4 **DR. ERIC JOHNSON:** I'd just like to
5 clarify that what I'm going to say does not take into
6 account what my colleagues in our group have said,
7 because I just did not have time to receive their
8 comments. I mean, I was assigned this task because I
9 think somebody was supposed to do it, wasn't here.

10 **DR. LAURA GREEN:** Right.

11 **DR. ERIC JOHNSON:** The OCSPP conducted
12 a systematic review following the recommendation by
13 the National Research Council. They adopted what they
14 call a "fit for purpose" approach in identifying high-
15 quality studies and also adopted the approach of
16 transparency that were followed, really, throughout
17 the review process. I think those two things were
18 followed throughout the review process.

19 The studies for review were initially
20 identified from open literature search or standard
21 databases such as PubMed, ScienceDirect and Web of
22 Science. And then these searches were supplemented by
23 various other methods which include peer review
24 scientific journal publications, registrant-generated

1 studies submitted to the Agency as required under
2 FIFRA, internal reviews and databases, OPP routine
3 evaluations of the epidemiologic literature,
4 evaluations by OPP and other organizations, other
5 governments and academia.

6 On the face of it, this is really an
7 extensive review. However, there is room for some
8 concern -- from my point of view -- there is room for
9 concern over the completeness of the review process
10 for the following reasons. And I'm not sure whether
11 they're justified or not. The Agency will have the
12 opportunity to put me straight.

13 It was noted that only nine of 58
14 epidemiologic studies, selected for review through the
15 open literature searches, were identified. Only nine
16 of the 58 epidemiologic, which were finally identified
17 for review purposes, only nine of them were identified
18 through the open literature search.

19 That came to me as a surprise because,
20 I mean, most of our review would rely on the search of
21 standard databases like PubMed, ScienceDirect and so
22 forth. That came to me as a surprise.

23 It sorts of suggests to me that maybe
24 the Agency -- and I don't know how justified I am, and

1 the Agency has the opportunity to clarify that again -
2 - but it suggests to me that maybe the Agency needs to
3 do a more reliable, comprehensive and effective -- and
4 use effective techniques in conducting open literature
5 searches than they've done in this particular review.

6 The second area of concern was that the
7 scientist from the Agency revealed that they had made
8 no attempt to identify studies of workers involved in
9 the manufacture of glyphosate for the review. The
10 evaluation in EPA reviews of this nature, this group
11 of workers is usually excluded for study is quite
12 unexpected for me. I mean, I've never heard of that
13 happening before.

14 Historically, for other chemical and
15 physical agents like asbestos and benzene and
16 whatever, it has been this group of workers, workers
17 in manufacturing, that has contributed predominately
18 in scientific evaluations of the potential
19 carcinogenicity of chemicals and physical agents that
20 pose threats to the general environment and general
21 population.

22 Some of the advantages of using this
23 group of workers, that have been leveraged before in
24 risk assessment, include 1) that they have much higher

1 exposure levels and wider exposure gradients that
2 permit easier detection of effects, if any, than using
3 groups like users, such as applicators and the general
4 population. The manufacturing group have much higher
5 exposures and much wider exposures gradient to them
6 than applicators in the general population.

7 Secondly, they comprise a well-defined
8 group that is easily followed up. Third, the
9 exposures are usually better documented than what we
10 find in the general population or even amongst peers,
11 for that matter.

12 Fourth, they can be studied in high-
13 quality cohort and nested case-controlled studies that
14 are much better designs than the usual population or
15 hospital-based case-control studies. I am a firm
16 believer of nested case-controlled studies within
17 cohorts. Workers and companies that manufacture,
18 formulate, handle or sell glyphosate on a wholesale
19 business -- and I emphasize the word wholesale
20 business -- to me, comprise a promising resource that
21 should be tapped by the Agency.

22 I can go back to my experience when I
23 was at IARC. I was given the job of setting up the
24 International Agency study of workers exposed to

1 dioxin, phenoxy herbicides, and chlorophenols, and
2 furans. And at the time, the expert committee said,
3 worldwide, there are only 1,000 workers exposed to
4 these compounds. And that was my job now to put this
5 cohort together. And in the end -- long story short --
6 -- we got over 20,000.

7 I mean, you can use the internet and
8 you would be able to -- I used to see IARC in France
9 and be able to identify France and various countries;
10 and that's how we got the numbers up to 20,000.

11 I think there is that possibility that
12 the Agency can also identify companies that handle
13 this thing on a wholesale basis. And that's where the
14 science should be really. And it may not even be
15 those who manufacture. I think the companies that
16 formulate and handle and sell probably have much more
17 workers than those who manufacture. It's possible, I
18 think.

19 I would guess because there are 15 or
20 16 companies which are registrants who manufacture or
21 handling this compound. And I think many of them
22 belong to the latter group of formulators and people
23 who sell. There is a really good potential to try to
24 identify populations, at least for future study, if

1 not for asking about studies that have been done in
2 their workers.

3 In relation to that, I think it's a
4 little bit surprising that the Agency has not
5 requested these types of studies from the registrants
6 at that time; either renewal of registration, which
7 would be more appropriate when it's 15 years later.
8 They should request those data; have you've done
9 studies on your workers who were exposed to
10 glyphosate?

11 I think that should be really part of
12 the agency's requirement, just like they require all
13 the toxicological data and so forth, they should
14 require those. It's really an important resource.
15 Without it, I can't imagine us being able to detect
16 environmental carcinogens in the future. We do need
17 the access to industries. It's as simple as that.
18 I'm involved in that area and I'm very much concerned
19 about the lack of access to industry data. And that's
20 the only way we can protect the general population by
21 having access to industry data.

22 I have to point out that NIOSH faced a
23 similar situation with dioxins, and NIOSH had the
24 legal power to get access to the company's data. Even

1 though, they did not relinquish it initially, but
2 under law, they were forced to give NIOSH access to
3 all the company's data. And that's how NIOSH had such
4 good data on dioxin. I think the Agency should not
5 shy away from such attempts to try and improve this
6 risk assessment by being aggressive accessing data.

7 As we learned yesterday, at least one
8 company had done a study -- had a small cohort of
9 manufacturing workers. That at least shows that that
10 data can be there.

11 The third point that had a little bit
12 of a problem, was the fact that we were charged to
13 evaluate the active glyphosate acid; however, all the
14 epi studies, as we know, concern people who are
15 exposed to formulations.

16 Whatever conclusions we make would be
17 in relation to formulation and not to what the Agency
18 has charged us to do. In the evaluation of the epi
19 studies, study quality considerations that were
20 tailored specifically to studies investigating the
21 association between glyphosate exposures and cancer
22 occurrence, with primary literature and associated
23 meta-analysis evaluating association between
24 glyphosate exposure and cancer outcome being the focus

1 of the analysis. Glyphosate and cancer is the focus
2 of the analysis of the studies which we were asked to
3 give you.

4 Each study was judged to be of high,
5 moderate, or low quality in each of six domains; and
6 those six domains were study design, exposure
7 assessment, outcome assessment, confounder control,
8 statistical analysis, and susceptibility to bias.

9 I think this is a sound, appropriate,
10 and acceptable approach. Although, how they arrived
11 at the final ranking was not clear to me. I mean,
12 they ranked each of those six, but the final ranking
13 of all the studies were just low, moderate, and high
14 quality. I don't know how they arrived at the final
15 global ranking.

16 While the classification of studies in
17 the low-quality category appears quite appropriate to
18 me, the separation of the three studies in the high-
19 quality group from others in the moderate group, I
20 think is questionable. For one thing, the Koutros, et
21 al. (2013) study is not a case-controlled study, as
22 the Agency mentioned. It is a cohort study.

23 In effect, in the high-quality group we
24 have three studies, two of which are cohort studies

1 and those two cohort studies are from the same cohort.
2 Secondly, the usual higher ranking of cohort studies,
3 vis-à-vis case-controlled studies, which we all
4 normally accept, I don't think it's applicable in this
5 particular review. Because as I mentioned, two of the
6 three studies were from the same cohort and this
7 cohort has certain limitations, in my view, that do
8 not justify its separation into high-quality ranking
9 above the studies classified as moderate quality.

10 I don't think it's clear that the
11 studies in the current high-quality group can be
12 meaningfully separated from those in the moderate
13 group. Really, I just don't think that can be done.
14 I don't think that the differences between those
15 studies and those in the moderate group are so
16 distinct that one can make that separation.

17 Also, while the Agency correctly
18 determined whether studies had adjusted for exposure
19 to other individual pesticides as one of the important
20 criteria for quality assessment, which I 100 percent
21 support, it has not considered the equally important
22 exposure to farm animals, sort of cattle, pig, sheep,
23 poultry, et cetera, that also needs to be adjusted for
24 in determining the quality of epidemiological studies.

1 As I mentioned earlier today, farmers
2 have been known to be at high risk of leukemia and
3 lymphoma way before any pesticide was widely used in
4 the United States. And the candidate for those
5 excesses have been oncogenic viruses that are present
6 in these animals and also the issue of immune
7 stimulation from exposure to antitoxin, which is
8 particularly relevant when it comes to leukemia and
9 lymphomas.

10 I think, especially in the few studies,
11 in fact, which experimented with animals as a risk
12 factor, some of them found pretty substantial risk
13 associated with animals. I think it's important to
14 consider both, exposure to all the individual
15 pesticides as well as exposure to farm animals, in
16 trying to tease out what is due to glyphosate.

17 The Agency pointed out that the
18 direction of confounding from these exposures might be
19 -- it's one direction. That it might be to inflate
20 any effect of glyphosate in the absence of statistical
21 control. I don't quite agree with that because the
22 effect of confounding really can be either way. And I
23 can quote two studies. The study by De Roos et al.
24 (2005), is one example where it shows that adjusting

1 for all the individual exposures can increase the use
2 for glyphosates rather than decrease it. Also, there
3 was a study by Sheila Bazarin in 1990, which also
4 showed a similar opposing effect. The confounding can
5 work both ways.

6 Overall, bearing in mind the concerns
7 that I've expressed above, this has not detracted from
8 the fact that the overall agency's review and
9 evaluation process of the relevant epidemiologic
10 studies to inform the human carcinogenic potential of
11 glyphosate, to me, is otherwise adequate, apart from
12 those reservations which I mentioned.

13 **DR. JIM MCMANAMAN:** Thank you, Dr.
14 Johnson. Dr. Jett.

15 **DR. DAVID JETT:** I have a few comments
16 and some of them are sort of bigger issue comments
17 that probably will and have been covered elsewhere.
18 I'm going to add just a few comments on the process.

19 Before I do that, I wanted to talk
20 about a couple of big issues and sort of following on
21 from a lot of Dr. Johnson's concerns about
22 manufacturing. I believe I recall when this came up
23 that the Agency stated that this is probably more
24 under the lane of OSHA rather than EPA. It's unclear

1 if that OSHA activity impacts on the regulatory
2 decisions made by EPA; that maybe should be included
3 in any kind of follow-up. That was one issue.

4 Manufacturing registrants; I think it
5 might help us and others to determine the quality of
6 the data submitted from registrants if more detailed
7 information on the process of the internal peer review
8 of the studies, and the process of selecting the
9 studies and extracting data, that might, I think, help
10 some of the questions that have come up.

11 As far as the process, I just had a
12 couple of minor things. In being involved over the
13 past two or three years now in these systematic
14 reviews, I know that they are only as good as the
15 process. For instance, the reviews that we do at NIH,
16 we have protocols that are 50, 60, 70 pages long that
17 we then post on the website and solicit external
18 comment on the protocol, even before we do the review.
19 I think when I read this, the first thing that struck
20 me was it's a little bit lacking detail, although
21 there may have been some citations to some other
22 documents that EPA has on file, that describes it in
23 more detail. But that was my first impression.

24 One issue that I thought about was, the

1 selection process is usually done by more than one
2 person so that you can then come to some consensus of
3 the articles that were selected. And I think I
4 mentioned that earlier when I was asking questions of
5 the EPA.

6 Let's see. And really, the only other
7 thing is something I just saw on, I think it was on
8 page 2, NT 2, paragraph 2. It says studies submitted
9 to the Agency are evaluated based on OECD, OCSPP or
10 OPP test guideline requirements. And I just wondered
11 if, you know, are these harmonious? Are there
12 conflicts? And if so, how are they resolved in these
13 guidelines?

14 I probably am finished. Oh, one other
15 thing. One second. This always happens when you're
16 reading aloud. I may have raised this earlier. It
17 appears that, again, the new articles that came in --
18 the newest articles that came in were identified from
19 review articles and then you saw articles that were
20 mentioned in the review and went out and got them. I
21 just think that that -- maybe that's a
22 misunderstanding, but if it's true, you know, I think
23 you should've also done a separate literature search
24 as well. And then finally, I have a comment on cohort

1 studies, but I'm pretty sure that that's going to be
2 covered later, so I'll leave that alone.

3 That's it.

4 **DR. JIM MCMANAMAN:** Thank you, Dr.
5 Jett. Dr. Portier.

6 **DR. KENNETH PORTIER:** Thank you. I
7 want to start by taking Dr. Parson's disclaimer;
8 replace her name with my name. Replace Food and Drug
9 with the American Cancer Society. My comments are my
10 comments and not those of the agency, of the Society.

11 I want to commend EPA on this effort to
12 incorporate human data into risk assessment. I was on
13 the panel that reviewed the use of epi data in risk
14 assessment back in 2010, and I think they've made a
15 lot of progress since then on actually tightening up
16 on what was pretty loose back then. I mean, that was
17 not that long ago, but I think they've made big
18 efforts here.

19 As you read this section that lays this
20 out, we know that the goal of the epi study review and
21 evaluation process is really to talk about each
22 study's contribution to the strength of evidence
23 regarding the human carcinogenic potential of
24 glyphosate. But sometimes it seems like the goal is

1 to bend them into high, medium, and low. There's a
2 little bit of tone there that needs to be addressed
3 because you don't really want that coming out.

4 The goal of the process is not binning;
5 the goal of the process is quality evaluation. I
6 think in your document, each study is evaluated on its
7 own merits, taking into account not only the general
8 characteristics of the type of study, but also how the
9 specific study designers attempted to strengthen, or
10 not, the information ultimately obtained.

11 Dr. Johnson and Dr. Jett had discussed
12 some of the aspects of study quality that are
13 described in Table 3.1. And they've kind of made some
14 suggestions for more detail. I'd like to talk a
15 little bit about the statistical analysis issues. It
16 appears that higher quality studies, used more
17 appropriate and more powerful statistical analysis
18 than the weaker studies. But that's about as far as I
19 can go in my assessment, because I needed a little bit
20 more detail.

21 My first suggestion is that the
22 discussion of study power, which is in the study
23 design domain in Table 3.2, really needs to be
24 separated from that of the methodology model

1 discussions. And right now, the power discussion is
2 linked to the analysis discussion. And really, the
3 power discussion belongs in the design section. How
4 good of a design was this? How many individuals did
5 they capture?

6 In fact, I would've taken the study
7 design domain and kind of organized it a little bit
8 more differently. I would've had a study design
9 section where the study type, the sample size,
10 participant selection and randomization controls were
11 discussed. You know, questions asked about how
12 exposures and outcomes are captured; efforts to reduce
13 confounding and potential biases. It would've been
14 nice to see did they ask those questions in the study
15 design.

16 Then there's some study implementation
17 issues that involves, you know, what attempt did they
18 make to get everyone selected actually involved in the
19 study. I mean, that's a big problem with epi studies.
20 You say I need 120 people and 90 of them answer the
21 call. What about those other 30? How much attempt
22 did they make to get those other 30? The completeness
23 of the questionnaire design.

24 Often, they report, you know, what

1 fraction of the respondents completed their
2 questionnaire. And that can be very important because
3 if they, you know, only got halfway through and quit,
4 you may be missing key demographic information or
5 whatever. It would've been nice -- and often this is
6 in the writeup of the study.

7 And then finally, there's the data
8 analysis section which includes the handling of
9 missing data, the analysis models, the adjustments for
10 confounding and everything else. That's kind of the
11 study design section.

12 And then I started thinking a lot about
13 the confounder control issue, and some of the things
14 that I would've liked to have seen summarized;
15 probably belongs in an appendix somewhere, but really,
16 you know, there are about 21 pesticide chemical groups
17 and 80 active ingredients that farmers are somewhat
18 exposed to. It would've been nice, somewhere, to have
19 kind of a summary of all of those and where EPA
20 assessment on these things hold. Just so we can get
21 an idea of well, potentially, what are farmers exposed
22 to? Lindane. Some of these, I know, are confirmed
23 carcinogens. Some are suspected carcinogens. In
24 fact, I think a table like that is not a bad addition

1 to any of the OPP risk assessments that inform agri
2 chemicals.

3 We keep reminding ourselves that humans
4 are not just exposed to one of these things at a time,
5 but they're exposed to a mixture. And then the other
6 thing, under confounder control, that I think is very
7 important and actually hasn't been mentioned today; is
8 that only a small fraction of these studies really did
9 adjustments for smoking and smoking duration. And we
10 know farmers are terrible in their smoking
11 characteristics.

12 The farmers and the farmers' wives. I
13 don't know the recent statistics on that, but a few
14 years back, they're in a high category. And I was
15 scanning through your Table 3.2, maybe half of them
16 mentioned some aspect of smoking control. I think
17 it's important to be able to see that. When I'm
18 trying to assess the strength and the uncertainty of
19 each of these studies, I want to see that control.

20 And finally, it would've been nice,
21 some of the studies talk about well, yeah, we tested
22 for an association with smoking and it wasn't
23 significant. That's fine to know. I would like to
24 know whether that smoking was still in the model when

1 they assess the relative risk of pesticides exposure
2 because some researchers will test and remove and
3 others will leave it in.

4 You know, my personal preference is --
5 even though it's maybe statistically not significant -
6 - there's a lot of biological reason for leaving it in
7 the model. And as I read the discussions, I think
8 that was kind of important in some of these epi
9 studies. Some of them left it in, some of them left
10 it out. And taking them out leaves more variability
11 to be explained by pesticide exposure; that relative
12 risk can actually go up because you tested a
13 confounder and then you dropped it from the model.

14 At the end of the process, each study
15 is assigned an overall ranking and that's what's the
16 right-hand column in Table 3.2. You know, as you look
17 through that and you read the discussion, there's a
18 high concordance between what you described as a high
19 study and what you ranked as a high study. I think
20 that table does, at least, provide me confidence that
21 you've defined a process and you followed the process,
22 which is important to me. And I think I'll leave it
23 at that.

24 **DR. JIM MCMANAMAN:** Thank you, Dr.

1 Portier. Dr. Sheppard.

2 **DR. LIANNE SHEPPARD:** Thank you.

3 Picking up on Dr. Portier's most recent comment of
4 defining the process and following it, I think that's
5 important, but I would revise the process. And one of
6 the things that -- while superficially it looked like
7 the quality rankings were useful, I felt like
8 ultimately, they were really inadequately nuanced.
9 And that in the end, I didn't see that there were
10 important distinctions between the medium and the
11 highly-ranked studies. And that by making that
12 distinction it was not helpful and it allowed for some
13 post-hoc things to be done later that I also didn't
14 think were appropriate, statistically.

15 I really would recommend removing the
16 distinction between medium and high studies, and they
17 either pass or fail. And then I also very much liked
18 Dr. Portier's binning of the criteria. I thought that
19 was very helpful thinking. And then with respect to
20 the specific criteria, I didn't think the study design
21 is as black and white as the document presents.
22 There's a lot more behind that. And I think the
23 concept of a realized study design is important, not
24 just the fact that it's a cohort study, but what was

1 the realized design of the study because this is an
2 extremely early report from the Agricultural Health
3 Study.

4 And while in principle it may be
5 better, in many, many ways, you know, realized design
6 with respect to this publication has some important
7 issues that need to be weighed into the evaluation and
8 therefore doesn't make it so much higher quality than
9 the other studies that were reviewed.

10 We heard today about the young ages and
11 the low cancer instance to date, and we will hear
12 more, I'm sure, by my colleague, Dr. Taioli, about the
13 selection issues. And they're all really important.
14 In general, study power, I think, was given way too
15 much weight.

16 As I said earlier this week, you know,
17 once a study is completed, you don't need to talk
18 about power. The results are the results. The
19 confidence interval tells you what you need.

20 The only way that I would consider
21 power is to make some a priori cutoff that you say
22 it's just plain too small based on something. And
23 that's a hard and fast line and that's it. And that's
24 defined in advance. I mean, we could discuss whether

1 that should be done based on exposed cases or just
2 total cases. I mean, I think that's something maybe
3 we should hash out a little bit and provide you some
4 advice on. I think my current bias would be on total
5 cases, total events, but I'd like to hear the opinions
6 of my colleagues on that.

7 The 2010 EPA epi study evaluation that
8 Dr. Portier talked about, also talks about potential
9 for statistical bias; and that's something you didn't
10 consider in your evaluation. I give one example, De
11 Roos, et al., reported the pesticide adjustment
12 estimate for multiple myeloma. There were 32 cases
13 and 23 parameters in that model.

14 Now, as a statistician, that's too many
15 parameters for 32 cases. Yeah, 15 of those were for
16 the pesticides included in the model. That's a
17 concern in general. And of course, it's difficult,
18 right. You want to draw the conclusions you can, from
19 the data you have, and you want to include all the
20 possible confounders. But it's a really good way to
21 make stuff go away, is to put too many parameters in a
22 model.

23 You really have to think hard about
24 what belongs in a model, and what doesn't belong in a

1 model, and why. And it's fine to do sensitivity
2 analyses and report all that. I love to see
3 supplements. A lot of papers did not have them, which
4 I thought was extremely disappointing, because I
5 wanted to see a lot more of what was behind those
6 things.

7 Exposure measurement error is really,
8 really important. You know, and it's a huge challenge
9 in this literature that all of these studies are
10 relying on questionnaires. You know, there is
11 literature, I think it's the Zahm and Blair paper --
12 I'll make sure I get that right, as I revise these
13 comments -- to suggest that proxies don't
14 differentially report pesticide use by case and
15 control status. And that biggest challenge with proxy
16 reporting is a higher prevalence of "don't know"
17 responses in both the cases and controls. And it's
18 important to recognize, I thought was really important
19 in those case control studies that had to rely on
20 proxies for the cases, they went out and found
21 deceased controls as well. They were at least
22 comparable on the use of proxy information.

23 But I think that recognizing the
24 limitation about over-reporting pesticide use for

1 cases is balanced by some other considerations, and
2 this paper suggests that that's not a real problem in
3 the pesticide literature.

4 I think the discussion of confounding
5 shouldn't assume a direction. And it would be more
6 useful to consider the bounds of the role of
7 confounders unaffected estimates. You know, there's
8 literature from -- the lung cancer literature that
9 suggests that omitting an important confounder, even
10 like smoking, doesn't necessarily confound effects
11 estimates that much.

12 While omitting confounders is clearly a
13 concern, I think that I'm actually almost more
14 concerned in these studies about the over adjustment
15 by pesticide use and the problems that may come into
16 the analysis from that. And many of these studies put
17 a lot of pesticide indicator variables in the model.

18 And the consideration of other
19 pesticides, I think Dr. Portier's comments were really
20 excellent on this. I thought the consideration of
21 that was really pretty superficial. You know, by not
22 considering specific groups or active ingredients, I
23 think we're really missing something really important.

24 I also wanted to comment that the

1 reference group and analysis has important
2 implications for the interpretation of the results.
3 You know, in the Agricultural Health Study, they are
4 all farmers that are registered pesticide applicators.
5 What does that say about the underlying, you know, who
6 they are -- who the unexposed individuals in that
7 study are. It's also in the dose response analysis.
8 It's important to recognize that the reference group
9 there is the low -- is not the unexposed, which is
10 what you would think, but it's the lowest exposure
11 group.

12 The reason De Roos, et al. did that,
13 was because they were concerned that there was some
14 differential bias in the -- that there were some
15 differences in the unexposed group in that study; and
16 therefore, they did not want to do the dose-response
17 analysis using the unexposed as the reference group.
18 But it's easy, you know, when it's all lumped
19 together. We say oh, there's no dose response, but we
20 don't even really think, oh, well, the dose response,
21 the reference group is exposed. It's still a low-dose
22 group. It's not an unexposed group.

23 And that's one example. There were
24 several of the case control studies that had the

1 reference group as having no exposure to any
2 pesticides whatsoever, as opposed to other ways of
3 adjusting, of dealing with pesticide use. And I
4 wondered the implications that had on the analysis.
5 So again, the choice of the reference group has an
6 important implication.

7 Let's see. I think I've said a lot of
8 this. I probably have a lot more to say about the
9 Agricultural Health Study, but maybe I can come back
10 to that later.

11 Thank you, Dr. Sheppard. Dr. Taioli.

12 **DR. EMANUELA TAIOLI:** Okay. I have a
13 few comments. One is in agreement with the selection
14 criteria that Dr. Jett was mentioning before. I think
15 it's very important that there are at least two people
16 doing the selection and two people scoring the quality
17 independently. It's very important in a process.
18 Maybe you did it, but it didn't appear clear in the
19 document.

20 Then I have some addition about the
21 study design. I think the introduction, it's very
22 black and white about epidemiological studies, and
23 unfortunately, our life is not that black and white.
24 Although we think that cohort studies are the gold

1 standard and we all like it, it depends on how the
2 cohort study is designed.

3 I will come back in a second about the
4 Agricultural Study. On the other side, the case-
5 control studies which are prone to bias and everything
6 gets written in a document. That's actually the study
7 of choice for the rare disease. Non-Hodgkin lymphoma
8 is a rare disease. From what I am concerned, I don't
9 find it very unusual that there are so many case-
10 control studies; because the type of diseases they
11 were looking at were rare diseases. I don't find it
12 as such a reason for scoring a study alone, in terms
13 of quality.

14 By looking at the score of the
15 agricultural study, which was scored high, I really
16 don't agree with that completely, for several reasons.
17 Some of them have been mentioned today, which is the
18 short follow-up. Everybody said that. Also, there is
19 a very small number of incident cases; for example, of
20 non-Hodgkin lymphoma, just because when you use a
21 cohort study for a rare disease, you get a few cases.
22 That's how life goes.

23 Another thing that I have concerns with
24 is the inclusion -- so this is kind of a prevalent

1 cohort because everybody who registered, at that time,
2 entered the cohort. But then the historical exposure
3 has been built up, retrospectively. We don't have a
4 denominator who are the farmers because everybody I
5 looked at the average -- backward exposure is an
6 average of 15 years before the interview.

7 In those 15 years, a lot of farmers who
8 were very susceptible to exposure may have died and
9 never had a chance to register. We don't really know
10 what is the background denominator of this population.
11 And if that happened, which we don't know, then we
12 expect no risk for the disease of interest during the
13 follow-up, which is what we are seeing.

14 I have a lot of concerns with this.
15 And I'm just thinking today, but I want to think a
16 little bit more, that even excluding the prevalent
17 cases, given this historical retrospective
18 construction, may not be the best option, but I'm not
19 completely sure about that. Maybe it's something we
20 would want to think about. And then I had the same
21 issue about the comparison group because everybody is
22 basically exposed because of the fact that they are
23 registering. They are exposed to other pesticides.
24 They are not really a baseline if we're looking for a

1 baseline.

2 I think that there are a lot of issues
3 that we may discuss more, but I don't find this study
4 as informative as it's written in the document. And I
5 think that perhaps having a follow-up of this study,
6 of just of the newly registered, new user, followed
7 over the following 20 years, may be the most
8 informative information that we can have on the issue.
9 And I'll stop there.

10 **DR. JIM MCMANAMAN:** Thank you. Dr.
11 Zhang.

12 **DR. LUOPING ZHANG:** It's always good to
13 be the last because then, you know, my panel members
14 already expressed most of my opinion. But I just want
15 to maybe echo some of my fellow members' comments.
16 For example, Dr. Jett also mentioned about newly
17 population papers or maybe newly accepted papers, if
18 that should be included finally in this report or not.
19 I guess maybe Dr. Green also mentioned that on the
20 first day -- I'm trying to encourage discussion.

21 Should we have a cutoff date? Like a
22 date after 2016, that would be a good timeline or
23 something? I think that's something, as a committee,
24 we should even think about. Because we can't let it

1 go on forever. We have to have a cutoff. That's
2 number one.

3 Number two, if you look at the charge
4 question, I think we mentioned that too, but I just
5 want to make sure, 23 studies, it's not 23, it's 24,
6 right? Three high quality, as you know, and 21
7 medium. But what we know from what Dr. Portier and
8 Dr. Sheppard mentioned, is that really good to
9 eliminate all the low-quality score studies?

10 I think maybe we have to, I mean, I
11 know later, maybe today, this is going to come back.
12 For example, you know, let me just put an example,
13 Cocco (2013), which was included in IRAC and it was
14 also included in 2015, you know, your own previous
15 report that rated low, then you discard it, and should
16 we revisit, just something like that? I just want to
17 make sure that all the paper selections, you know, we
18 as a group really fully agree.

19 Anything else? Oh, another thing is
20 about the bias. The risk of the bias from, you know -
21 - Dr. Jett also mentioned that I think we, also, as a
22 group, we really should think about it carefully. And
23 luckily, we have a lot of biostatisticians on board.
24 How do we really manage, for example, recall bias from

1 the case control study?

2 I mean, when we get into maybe
3 discussion in 2(d), this is going to all come back,
4 but I just want to mention it now. And how should we
5 really deal with this? But as a bias, is it possible,
6 potential bias or risk for the bias? But can we
7 manage it somehow? Let's say, can we using, I don't
8 know, I'm not a trained biostatistician, but if there
9 is a risk, can we, let's say using bootstrapping idea.

10 Even for this human study, we can't
11 repeat a thousand times. But mathematically, if we
12 can make it happen a thousand times to repeat these
13 human studies, what would be the potential risk.

14 I don't think it's good for EPA just to
15 say we exclude this because it is a bias. It could be
16 a bias. Of course, everything could be, but can we
17 manage that? Can we access that?

18 I think that's why also my panel
19 member, Dr. Jett, you know, mentioned and also provide
20 as a table. I think we should go into that table and
21 see if we can quantify the way to manage this bias
22 risk. I get so excited. Let me see if I forgot
23 anything. So basically, (inaudible) analysis; I think
24 maybe there is no, what's the best. You know, what we

1 should use.

2 I think that maybe I would like to
3 stimulate that discussion. And I really want all my
4 biostatistician colleagues to help me really
5 understand how we can make a conclusion from the
6 specific question charged. You know, help me to make
7 that conclusion. Thank you.

8 **DR. JIM MCMANAMAN:** Okay. Thank you.
9 We'll open this up to comments by any other panel
10 members. Dr. Portier. He had his hand up first.

11 **DR. KENNETH PORTIER:** My understanding
12 with epi studies is they rarely don't publish. It's
13 more of the animal studies that they'll kill a couple
14 hundred rats or mice, you know, and nothing shows up.
15 It goes into -- but you spend the money to do an epi
16 study, you're going to publish something. I'm less
17 worried about report bias with epidemiology studies.
18 Wouldn't you agree, Dr. Sheppard?

19 **DR. LIANNE SHEPPARD:** I think with
20 respect to reporting, there's another subtle issue in
21 epi studies, which is, you know, what analysis you
22 finally report, versus what analysis did you do.
23 That's typically not very transparent and could affect
24 what's reported.

1 **DR. KENNETH PORTIER:** And that's why I
2 like your idea of being able to look at the
3 supplements. And then I thought to myself, except
4 that editorial boards want less methodological
5 discussion these days and they said, well, we're going
6 to put that in the supplement and then we never see
7 the supplement. We're not only missing the follow-up
8 analysis that you did, that didn't come up positive,
9 but we don't get a good picture of the methodology
10 they followed. And it's a real problem. It's being
11 discussed quite a bit in the scientific literature.

12 **DR. LIANNE SHEPPARD:** I mean, I do
13 think with electronic publications now and certainly
14 in the world that I work, it's much more common that
15 there are online supplements that are pretty detailed.
16 And when we need to drill down into the study, you
17 look at them and if you're just reading the study to
18 try to get a handle on the results, you don't bother.
19 But, you know, for this kind of evaluation you
20 absolutely need that.

21 **DR. KENNETH PORTIER:** And, you know,
22 coming from an agency that does long-term cohort
23 studies, I mean, the American Cancer Society Cancer
24 Prevention Study I, is just ending now. It's 45

1 years in duration. And the members have been followed
2 that long. All right. And we have another one we're
3 just starting up and we're making a long-term
4 commitment to be able to do those studies. We don't
5 even give out that data.

6 Our epi group does not believe in open
7 publication and open science for many reasons. A lot
8 of it having to do with confidentiality, and trying to
9 follow people for 40 years and convince them that
10 you're going to protect their anonymity, and those
11 kinds of issues. There are a lot of reasons why we
12 don't see everything. But that's another problem,
13 too.

14 The triple A quality epi study that
15 you'd really want to be able to dig into, is
16 proprietary for 40 years, until everyone dies or they
17 close the study and get permission. Especially with
18 cancer studies, that's kind of what they do. Can you
19 get access to the details of the nurse's study? You
20 know, all these big, long-term epi studies, you don't
21 have any details from that.

22 I wanted to follow up on a statement
23 you made, Dr. Sheppard, about power. I tend to agree
24 with you. You know, power is what you intend to do.

1 What you actually achieved is important. But sample
2 sizes are part of it, I'm more interested in achieved
3 responses, like you said. It's actually the fraction
4 of how many people responded, to how many people you
5 shot for, that's the quality of the implementation.
6 And we don't always get that.

7 Sometimes they say I talked to 100
8 people. I tried to talk to 500. Four hundred of them
9 refused to talk to me, 100 did. Here's my results.
10 And it's, again, less of a problem in epidemiology
11 that's done really well, much more of a problem in
12 like, marketing where it's anybody's answer. But some
13 of the studies that are low quality that were done by
14 post-docs or graduate students, I have real problems,
15 especially the ecological studies. There's usually a
16 real response bias going on in those studies if you
17 look carefully at them.

18 **DR. LIANNE SHEPPARD:** But that's not
19 statistical power, right. That's more selection.

20 **DR. KENNETH PORTIER:** Yeah.

21 **DR. LIANNE SHEPPARD:** And I agree with
22 you, selection is super important.

23 **DR. JIM MCMANAMAN:** Other questions.

24 Yes, Dr. Green.

1 **DR. LAURA GREEN:** Hi. Couple of
2 things. I want to echo Dr. Sheppard and Dr. Zhang and
3 other people's feelings that your quality ratings seem
4 a little arbitrary and ultimately unnecessary. I
5 mean, I'm just a kill them and count them
6 toxicologist, I'm not an epidemiologist. But it
7 strikes me that eliminating a bunch of things a
8 priori, without actually spending the time to look
9 through the data on individual studies, makes you look
10 a teeny bit biased or a teeny bit lazy; and I don't
11 think you want to look either biased or lazy. And my
12 antennae, if that's the right plural, were raised when
13 you all just said that Cocco, et al. epi lymph study
14 is low quality.

15 I mean, I can tell you as someone who
16 reads the lymphoma epidemiology literature a lot, that
17 the Cocco, et al. researchers are arguably the most
18 important lymphoma researchers in the world. There
19 are a series of studies called epi lymph, and there
20 are scores of them that cover at least six European
21 nations. There were, in that study that you all
22 considered to be low quality, 2,000 cases of non-
23 Hodgkin lymphoma and 2,000 controls, roughly, a little
24 bit more. I don't see how that's a low-quality study.

1 Now, having said that, there are clear
2 reasons that the Cocco, et al. study do not inform the
3 question of whether glyphosate is a carcinogen. Okay?
4 But that's a different issue from whether the study is
5 no good. And I, for one, am insulted, on their
6 behalf. I mean, if Cocco et al. read that you all
7 considered their study to be low quality, they'd be
8 really mad and they'd be right. I mean, they really
9 know more about lymphoma than any group of researchers
10 in the world. Literally, in the world.

11 Now, I would say further that if 2,000
12 cases and 2,000 controls, there are only six people
13 exposed to glyphosate, then either the Europeans are
14 not using glyphosate, which strikes me as weird; maybe
15 some of the marketing people in Monsanto need to get
16 going or something.

17 I mean, how can there only be six
18 people out of 4,000 in Europe who has used glyphosate.
19 Like, that's weird. There are problems with the
20 study. But to call it low-quality and not to look at
21 the data as the data present themselves, again, looks
22 like you're being biased or lazy, and I don't want to
23 be either one.

24 **DR. JIM MCMANAMAN:** I don't think they

1 they're saying low quality, they're saying low value
2 for this --

3 **DR. LAURA GREEN:** Well, but look at the
4 data. And the other thing to be said -- okay let's
5 take it at face value. Let's say it's true that
6 there's only six glyphosate-exposed people among 4,500
7 Europeans, which again, I don't think so. But anyway,
8 it looks to this simple kill them and count them
9 toxicologist, like the reason the study was rejected
10 is they didn't like the odds ratio because of a lot
11 more than one.

12 Okay, let me finish. It's a lot more
13 than one, but as my friend Charlie Pool used to say,
14 it like the tarp at Fenway Park, it covers all the
15 bases, right. Like, confidence intervals from, I
16 don't know, like .7 to 70 or something. I mean, I'm
17 forgetting, maybe .7 to 20.

18 I mean, obviously, it's a very limited,
19 probative value when you have four expose cases into
20 exposed controls. I mean, duh. But the data are the
21 data. And I think Dr. Infante was right to include it
22 in his meta-analysis. I have other issues with his
23 meta-analysis, but I think he's right to include it.
24 I believe that Delzel and Chang included it. I could

1 be wrong.

2 You know, don't throw it out. I mean,
3 it has very limited value, but that's why we have
4 confidence intervals, right?

5 **DR. ERIC JOHNSON:** I think the other
6 reason why --

7 **DR. JIM MCMANAMAN:** Dr. Crump had his
8 hand up first. We'll go with him and then with Dr.
9 Johnson and Dr. Ramesh.

10 **DR. KENNY CRUMP:** I think the Agency
11 did a very incredible job for the most part,
12 identifying strengths and weaknesses in the reliable
13 studies. But I do think there is an important
14 omission which needs to be rectified. I'm talking
15 about the problem of recall bias in case-control
16 studies. I would like to talk a little bit about that
17 and also present a couple of slides as I talk about
18 this.

19 But by recall bias, that is the
20 tendency for cases --

21 **DR. LAURA GREEN:** It looks like you're
22 being loaded as we speak.

23 **DR. LIANNE ZHANG:** did you say you
24 wanted to present some slides?

1 DR. LAURA GREEN: Yes. I think it's
2 being loaded.

3 DR. KENNY CRUMP: I don't need right
4 now.

5 DR. LAURA GREEN: Do you have them?

6 DR. KENNY CRUMP: Yes, she's got them.
7 I got it worked out.

8 DR. LAURA GREEN: Oh, okay. I'll calm
9 down. I'm hungry.

10 DR. KENNY CRUMP: I would like to spend
11 a little time on this. Is it a good time to break for
12 lunch?

13 DR. JIM MCMANAMAN: No. I think we
14 ought to finish this. We're in the middle of it.

15 DR. KENNY CRUMP: Recall bias is a
16 tendency for cases, people that are sick, when they
17 are asked to recall previous exposures, they may be
18 very concerned about what exposures may have caused
19 this sickness. And so, they will be much more serious
20 than controls about thinking about their exposures.

21 The cases they may take more time and
22 think about more about recalling their previous
23 exposures. And this would cause, what we call,
24 exposure bias. That's the tendency of cases to recall

1 more exposure than the controls.

2 The effect of this kind of bias is to
3 inflate odd ratios, make them bigger than one. The
4 IARC monograph by Breslow and Day (1980), which I sort
5 of consider the bible on case-control studies, this is
6 what they had to say about the potential for recall
7 bias.

8 Bias, especially that resulting from
9 non-comparable information from cases and controls,
10 are also potentially serious. The most common of these
11 is recall bias, which may result because cases tend to
12 consider, more carefully than do controls, the
13 question they're asked, or because the cases have been
14 considering what might have caused their cancer.

15 The weakness then, of case-control
16 studies is that in the end, the investigator must
17 appeal to subjective or only semi-quantitative
18 arguments to the effect that the information that he
19 has from cases and controls is equivalent in source
20 and quality.

21 I expect that is as true today as it
22 was 35 years ago, when it was stated. Here is what a
23 more recent paper that appeared in the year 2000, in
24 Nature, Griem and Shultz had to say. This was a paper

1 that reviewed potential problems in epidemiological
2 research.

3 "In case control studies that rely on
4 memory of remote exposures, recall bias is pervasive.
5 Cases tend to search their memories to identify what
6 might have caused their disease, healthy controls have
7 no such motivation. Therefore, better recall among
8 cases is common."

9 Now, recall bias will not affect cohort
10 studies or case-control studies nested in cohort
11 studies because these studies will question the
12 participants about their exposure before they were
13 sick; so, we're only talking about non-nested case-
14 control studies.

15 When I was reviewing these case-control
16 studies, I was interested in what they had to say
17 about the potential for recall bias and a lot of them
18 didn't say anything I found out. Some of them gave a
19 few references, and I tracked them down, but they were
20 relatively uninformative. The only study I found that
21 had potential useful quantitative information, on this
22 problem that is related in the slide up here, was an
23 old study by Blair and Zahm (1993), that Dr. Sheppard
24 referred to a few moments ago.

1 This study reported case-control data
2 from studies in which the cases and controls have been
3 interviewed about their pesticide exposures in two
4 different ways. First, they said just generally, just
5 tell us pesticide you were exposed to, with no kind of
6 prompting. They got all the information. Then they
7 went back and had a list of pesticides. Were you
8 exposed to this? Were you exposed to this? Were you
9 exposed to this? They got two list of exposures.

10 And you might guess, the second list
11 was much more extensive than the first list. This was
12 their conclusion; the number of insecticides and
13 herbicides volunteered by cases and controls however,
14 was quite similar, providing no support for recall
15 bias. But no analysis was reported to justify that
16 conclusion. I have re-analyzed those data from that
17 old study, and that's what reported up here on the
18 chart.

19 I calculated as many odds ratios as I
20 could. The left-hand column, the first box there, is
21 the odds ratio or exposures to one or more
22 insecticides versus exposures to none. The box below
23 that is exposure to two or more versus exposure to
24 none. The box below that is exposures of five or more

1 versus exposure to none.

2 The column to the right of that is the
3 same analysis having used the second method of
4 probing, where they listed the specific pesticides and
5 asked them if they were exposed to them. And you can
6 see and compare the number unexposed cases in the
7 upper left box, 64 to the number of cases in the box
8 to the right of that, 34. You can see how you poll
9 these cases makes a big difference in what you come up
10 with. I did the same thing for herbicides, so you get
11 the same thing on the right over there.

12 The blue boxes are the odds ratios that
13 I got from these data. And the interesting thing is,
14 every single one of them is bigger than one. They go
15 up to even greater than two. But I think even more
16 interesting is that five of them are statistically
17 significant. The ones in yellow are the lower bounds
18 and they're all bigger than one, so those five are
19 statistically significant. And all of them come from
20 the very detailed polling and questioning. That
21 suggests to me that if you try to do a better job of
22 questioning, asking more detailed questions, you may
23 be exacerbating the problem of control bias that
24 affects the cases, perhaps more than it does the

1 controls. And that's what I would --

2 DR. LAURA GREEN: Kenny, these are
3 Blair and Zahm's own data?

4 DR. KENNY CRUMP: Yeah.

5 DR. LAURA GREEN: So how can they
6 conclude what they concluded?

7 DR. KENNY CRUMP: That's kind of my
8 point. I don't know.

9 DR. LAURA GREEN: Wow.

10 DR. KENNY CRUMP: But they did not do
11 an analysis to support their conclusion.

12 DR. LIANNE SHEPPARD: We'll have to
13 look at this more carefully. It's Table 9 in Blair
14 and Zahm.

15 DR. LAURA GREEN: No, you'll have to
16 look at it.

17 DR. KENNY CRUMP: Sure. Sure.

18 DR. LIANNE SHEPPARD: Some of us will
19 have to look at this more carefully.

20 DR. KENNY CRUMP: I hope you do. Yeah,
21 this table certainly does not support the author's
22 contention.

23 Okay, now I want to look at the
24 glyphosate studies. Show me the next chart.

1 The EPA did an analysis of 12 case-
2 control studies that would be potentially subject to
3 control bias. I have them at the top of this table.
4 These are all non-nested case-control studies. And
5 down at the bottom of the table, I have the six
6 studies that would not be subject to control bias.
7 There is one prospective cohort study and there are
8 five nested case-control studies.

9 The top part of the table are studies
10 that would be subject to recall bias. The bottom part
11 of the table would be studies that should not be
12 subject to control bias.

13 **DR. LAURA GREEN:** Recall bias. You
14 said control bias. You mean recall bias.

15 **DR. KENNY CRUMP:** Yeah, I keep saying
16 control bias. Keep correcting me. Thank you. When I
17 say control bias, I mean recall bias. I'm sorry.
18 That's the way my mind works in a way.

19 I did something very simple. I went
20 through all the studies and I just counted the number
21 of ORs that are bigger than one and the number that
22 are less than one. It's a very simple thing to do.
23 You can probably do something a little bit more
24 sophisticated, but I think this proves our point.

1 I listed all of those, number bigger
2 than one and number less than one for each of the
3 studies. And remember, all of these studies are not
4 just of glyphosate, they're for dozens of pesticides.
5 This is the result from analysis from dozens of
6 pesticides in these studies. I took the number bigger
7 than one, the number of less than one in each of the
8 studies and then I took the ratio. And that's the
9 rightmost column.

10 And I think the thing that's important
11 to notice here is that by and large, almost all of
12 these numbers are bigger than the numbers on the
13 bottom, which is, I think, what you would expect if
14 there was control bias --

15 **DR. LAURA GREEN:** Recall bias. Recall
16 bias.

17 **DR. KENNY CRUMP:** -- recall bias being
18 responsible for what is going on here. There is one
19 odd one out, the Lee case control. That's a quite
20 different response. But by and large, the numbers in
21 the top part of the chart are larger than those on the
22 bottom part of the chart.

23 If you look at the non-Hodgkin lymphoma
24 -- well three of them anyway -- the Eriksson, the

1 Hardell, and the McDuffie, those three studies,
2 practically all of the odds ratios were bigger than
3 one. Interestingly, those three studies also, in some
4 of their analyses, in their unexposed group, they
5 removed people who had been exposed to any herbicide,
6 not just glyphosate. That would cause selection bias,
7 and it will also exacerbate the effect of recall bias;
8 because you're taking out from the unexposed group,
9 cases more than you are controls. That would make the
10 ORs increase.

11 At any rate, I see in this -- you see
12 just what I would expect to see if recall bias is
13 important and what's going on in studies.

14 **DR. LAURA GREEN:** Wait. Can I ask a
15 couple questions about this? Because first of all,
16 this is startling. But second, I'm not sure I
17 completely understand.

18 If we can just focus on one that's got
19 big numbers but a small bias. So Koutros, et al.,
20 right, which is second from the bottom, which is the
21 nested case-control study, within the Agricultural
22 Health Study that focused on prostate cancer.

23 I don't understand. It's only looking
24 at prostate cancer. And are you telling me that

1 because there are so many pesticides and herbicides
2 evaluated within the Ag Health Study that there are
3 that many separate odds ratios?

4 **DR. KENNY CRUMP:** That's what I got.
5 Not all of them are in the published paper, but if you
6 look at the note down there at the bottom, some of
7 them you have to go online. But I went through both
8 online and in the published paper, and that's what I
9 counted.

10 **DR. LAURA GREEN:** There are basically
11 400 variables, essentially?

12 **DR. KENNY CRUMP:** No. There are 400 --
13 well, they did a whole bunch of analyses with a whole
14 bunch of --

15 **DR. LAURA GREEN:** Four hundred analyses
16 I mean, yeah.

17 **DR. KENNY CRUMP:** -- pesticides.

18 **DR. LAURA GREEN:** Wow. Okay.

19 **DR. ERIC JOHNSON:** Koutros et al. is
20 not a case-control study.

21 **DR. LAURA GREEN:** Yeah, it's nested
22 within the Ag Health Study.

23 **DR. ERIC JOHNSON:** No. It's a full
24 cohort study.

1 DR. LAURA GREEN: No.

2 DR. ERIC JOHNSON: It is. It is a full
3 cohort study.

4 DR. KENNETH PORTIER: Can you turn your
5 mics on so we can hear you?

6 DR. ERIC JOHNSON: Koutros, et al.
7 study is a full cohort study. You just looked at
8 prostate cancer only, but it's a full cohort study.
9 It was not a nested case-control study.

10 DR. LAURA GREEN: Well, maybe it's just
11 semantics. I mean, it is all the -- my understanding,
12 again, as a simple kill them and count them
13 toxicologist, so maybe I read this wrong. But my
14 understanding is that Koutros, et al. took all of the
15 prostate cancer cases and evaluated them.

16 DR. ERIC JOHNSON: Yeah, what they did
17 was --

18 DR. LAURA GREEN: Within the Ag health
19 study.

20 DR. ERIC JOHNSON: They looked at
21 exposed group and unexposed group and compared the
22 frequency of prostate cancer in exposed versus
23 unexposed, and got a rate ratio by Poisson regression
24 analysis.

1 The analysis is Poisson and stated
2 right in the abstract. You can see it from the
3 abstract. It says that this Poisson regression is the
4 rate ratio. It's not a case-control study.

5 **DR. LAURA GREEN:** Okay. I stand
6 corrected.

7 **DR. JIM MCMANAMAN:** This is all really
8 informative, but are we off target a little bit here?
9 We're supposed to be addressing the evaluation
10 process.

11 **DR. KENNY CRUMP:** I'm almost through.

12 **DR. JIM MCMANAMAN:** Okay.

13 **DR. KENNY CRUMP:** I'd like to say a
14 couple more things. Based on what I've said, I'm
15 concerned that the results from these non-nested case-
16 control studies may be reflecting what we see from
17 recall bias, more than it reflects what it would
18 reflect on exposure to glyphosate.

19 I think this will also have
20 implications for the meta-analyses of all of these.
21 There are four -- usually, I think, there are four or
22 five of these case-control studies that are subject to
23 recall bias that go into the meta-analyses. These
24 biases don't cancel each other out; they are all in

1 the direction of raising the OR. That will bias the
2 meta-analysis just like it would bias each one of the
3 individual studies.

4 Okay. I think that's it. Thank you.

5 **DR. JIM MCMANAMAN:** Okay. Dr. Taioli.

6 **DR. EMANUELA TAIOLI:** Yeah. This is
7 actually -- between cohort and case-control study,
8 it's the beginning of the first class of epidemiology,
9 but nobody says this is the best or this is the worst.
10 They give you a table and says, these are the plus and
11 these are the minus. You have plus here, you have
12 minus there. Unfortunately, you don't have all the
13 plus on one side; because otherwise, it would be very
14 simple. If you look at this lower, they are all
15 derived from the agricultural cohort study, which is a
16 negative study.

17 You look at it the other way and you
18 only have -- I counted the cases -- you have 61 cases
19 of non-Hodgkin lymphoma, and 13 multiple myelomas.
20 You have a small number of cases because the cohort
21 has short follow-up and it is looking at rare disease.
22 You have numbers that are high for cancers that are
23 more common. That's the problem of a cohort study.
24 And you never get out of that. You have either recall

1 bias or the issues of cohort studies. You don't have
2 a solution to these weaknesses that the two designs
3 have.

4 **DR. LAURA GREEN:** Okay.

5 **DR. KENNY CRUMP:** Yeah. I think
6 probably despite the best efforts of epidemiologists,
7 this seemed to be a problem that's very difficult to
8 solve. But we still have to -- if there are biases in
9 the study, we have to recognize them.

10 **DR. JIM MCMANAMAN:** I have a question.
11 Is there a consensus, amongst the panel members, that
12 the evaluation that the agency used in this process
13 wasn't adequate for risk assessment? Are these
14 studies all fraught with so much error, and so many
15 problems, that they are not informative at all?

16 **DR. LAURA GREEN:** I'll take a stab at
17 that. That's not what I was going to say, but I'll
18 take a stab at it.

19 **DR. JIM MCMANAMAN:** All right.

20 **DR. LAURA GREEN:** Well, I think we're
21 getting ahead of ourselves a little, actually. But
22 let me say it depends. I think we may be saying that
23 -- well, my answer to your question is the following:
24 it depends on the endpoint.

1 Certainly, when the agency looked at
2 high and medium quality studies, with regard to solid
3 tumors, and came to a conclusion that there is no
4 reliable evidence of carcinogenicity, I expect --
5 although we haven't talked about it yet -- I expect
6 that this panel will be in agreement.

7 It depends on which cancer I think
8 you're talking about and which set of studies.

9 **DR. JIM MCMANAMAN:** I'm asking about
10 the carcinogenic potential. That's the question.

11 **DR. LAURA GREEN:** Oh, well, then I
12 think, if I can, I would answer it in three bins, just
13 the way the agency presented it.

14 They talked about all the stuff for
15 which there is like, really no reliable evidence, and
16 neither IARC, nor anyone else, thinks it's reliable;
17 so, solid tumors, you know, and leukemia. I don't
18 think anyone thinks the agency did that wrong. In
19 other words, I think there's universal agreement,
20 among the panel and frankly the scientific community,
21 that there is zero reliable evidence that glyphosate
22 has been associated epidemiologically with solid
23 tumors and/or leukemia.

24 **DR. LUOPING ZHANG:** We're not there

1 yet.

2 **DR. LAURA GREEN:** We're not there yet.

3 **DR. LUOPING ZHANG:** Also, that's
4 because there is a limited number of studies.

5 **DR. ERIC JOHNSON:** I think the studies
6 are good studies. We've all reviewed many, many, many
7 studies, as far as good studies are concerned. And
8 there is nothing terrible about those studies, those
9 24 studies, that would make me be concerned. But like
10 every study, sometimes we say it's epi study, but also
11 in experimental study, it's not a perfect study. Each
12 study has to be taken individually in some cases to
13 interpret it. In some cases, it's straightforward.

14 For example, the Agricultural Health
15 Study, there is a problem with that cohort study. And
16 even though normally cohort studies are strong design,
17 in this particular issue, there is a problem with it
18 for various reasons, which people who are bona fide, I
19 don't want to go over them with that. However, we
20 cannot accept it as a gold standard, in this
21 particular issue, because the Agriculture Health
22 Study, we cannot accept it as gold.

23 In total, those 24 studies are good
24 studies. There's nothing terrible about them that

1 would make me want to throw out any of the data.

2 **DR. JIM MCMANAMAN:** Okay. Thank you.

3 I've been asked, reminded, that we are supposed
4 identify ourselves. I think we became a free-for-all
5 here for a little while.

6 I'm sorry, Dr. Ramesh had his hand up a
7 long time ago.

8 **DR. ARAMANDLA RAMESH:** I don't have a
9 question. I have a comment. The Agency, in one of
10 their presentations, mentioned about confounding
11 controls. And I think they did it on the first day.
12 Dr. Perron presented, I believe, occupational
13 exposures to diesel exhaust fumes, solvents, UV
14 radiation and some other variables. But I do agree
15 that it could have been better presented separately,
16 either as a table or as a footnote. But the Agency
17 did bring it into all models.

18 **DR. JIM MCMANAMAN:** Thank you. And Dr.
19 Ehrich.

20 **DR. MARION EHRICH:** Okay. I think
21 we're taking our eye off the prize, which is the
22 weight of evidence. And that's more than just these
23 epidemiological studies. I think, I'd like to throw
24 that out again.

1 DR. JIM MCMANAMAN: Well, that's not
2 part of the charge question, so --

3 DR. MARION EHRLICH: Well, it is;
4 because it's, do you inform the human carcinogenic
5 potential. This is part of it.

6 DR. JIM MCMANAMAN: Yeah, but the
7 epidemiological studies, though.

8 DR. LUOPING ZHANG: We're on 2(a).

9 DR. JIM MCMANAMAN: We're on 2(a).

10 DR. DAVID JETT: Right.

11 DR. JIM MCMANAMAN: Dr. Jett.

12 DR. DAVID JETT: This is Dave Jett.

13 And what I was going to say is, to your question, we
14 should be, at this point, just focusing on the
15 process.

16 DR. MARION EHRLICH: Yes.

17 DR. JIM MCMANAMAN: My question.

18 DR. DAVID JETT: Selection and
19 evaluation process, not whether the studies are good
20 or not. But really, is this a good way of trying to
21 find those good studies? I thought that's what this
22 question was about.

23 DR. LAURA GREEN: Well then, I think
24 the answer to the question, if I can summarize it is,

1 Dr. Sheppard and others -- and I would agree with her
2 -- fault the agency for discounting some studies or
3 putting them in arbitrary bins, medium versus high. I
4 don't know if it's a consensus, but I certainly agree
5 that to eliminate certain studies, like Cocco et al.,
6 a priori is a bad idea, and is unnecessary. Because
7 unless I am missing something, that's the whole point
8 of having confidence intervals and detailed
9 evaluation. I think that's sort of a consensus, isn't
10 it?

11 **DR. JIM MCMANAMAN:** Wait a minute. Dr.
12 Portier.

13 **DR. KENNETH PORTIER:** I got my flag up.
14 Put your flag up.

15 **DR. JIM MCMANAMAN:** Everyone will get a
16 chance to be heard.

17 **DR. KENNETH PORTIER:** To quote, you
18 know, a statistician's quote, "All models are wrong,
19 some models are useful." I think the same thing with
20 this process, you know, the process has been very
21 useful. I was able to follow it. It was clear and it
22 helped me work through all the issues there.

23 Is it a perfect process? No. We've
24 got some suggestions on how they can improve that

1 process. But my assessment was, this was a really
2 good-faith, good professional effort to review these
3 studies and provide us some strength of evidence back.
4 When we get into these discussions about the values of
5 the findings toward glyphosate carcinogenicity, we
6 have to keep in the back of our minds, yeah, but is
7 this a good study or a horror story? And they've
8 helped us with a framework to do that. I think that
9 was their goal, is to provide that framework.

10 My vote would say, no, Jim, they have a
11 decent process here. It can be improved, but it
12 helped me, and question, to be.

13 **DR. JIM MCMANAMAN:** Dr. Zhang.

14 **DR. LUOPING ZHANG:** It's really good to
15 have a last name start with Z. It's always the last,
16 including you.

17 I just want to comment on Dr. Crump's
18 presentation. I want to thank you. You, at least,
19 addressed my question as how to access, you know, how
20 to discuss, or limit thinking about the recall bias,
21 right. You got us started.

22 I sort of agree with Dr. Green, I'm not
23 so sure I really got it, all the numbers of what you
24 did. Maybe this afternoon we'll come back to that.

1 Your comment or suggestion, it sounds
2 to me, if a case-control study always has this recall
3 bias, maybe we should suggest to epidemiologists,
4 don't do that. because if you did, we can't include
5 your data anyway because of the recall bias. This is
6 one thing.

7 And the second -- I forgot the second.
8 That's a recall bias. Anyway, I'll come back. I
9 don't have a second one. But it makes me think, if it
10 was consistently a recall bias from a case-control
11 study, why all these epidemiologists want to do it?

12 **DR. JIM MCMANAMAN:** Well, you know --

13 **DR. LUOPING ZHANG:** But if you did it -
14 - so that's why I'm thinking, we want to have maybe a
15 statistical way or some way to qualify this risk.
16 That's basically my point. I don't know how, but I
17 just don't think now to consider. That's maybe not a
18 good approach. So anyway.

19 **DR. JIM MCMANAMAN:** If it's about
20 statistical modeling, we can hold it. If it's about
21 the charge question, do you have a comment.

22 **DR. ERIC JOHNSON:** Just consider that
23 these issues will come up for certain types of
24 studies, and then we can efficiently address those.

1 **DR. JIM MCMANAMAN:** I think that we
2 probably have gathered lots of evidence that
3 statisticians' brains are mainly on cocaine because
4 they'd rather argue about statistics and approaches
5 than eat. I think we'll go back to the agency and ask
6 if this is informative in relationship to the charge
7 question. Or if you need clarification.

8 **DR. MONIQUE PERRON:** Towards the charge
9 question and plus, this has been informative. But we
10 did want to clarify just a couple of things before we
11 break.

12 One thing, in terms of the process for
13 the scoring for the epi studies, I think it was a
14 little bit of confusion. I think the information
15 yesterday, or two days ago -- it's all a blur at this
16 point -- regarding how we had gone through all of the
17 lit studies, was one person categorized them and then
18 two other people Q/A'ed that information.

19 In terms of the epi studies, we
20 actually had two people look at a study, and then two
21 others after that look at the study as well. And then
22 there was actual discussion as well beyond that, after
23 the fact, if we weren't in agreement or if there was
24 additional information to add to that point.

1 I believe somebody also made a
2 statement that we just eliminated studies. Again, the
3 studies, again, were gone through a ranking to
4 determine whether they would be informative to the
5 carcinogenic potential. It's not about the quality.

6 And there is a statement, that is
7 hidden in all of that, that says that these rankings
8 are specific to this evaluation. We're not trying to
9 say that a specific study is of low quality,
10 altogether, in total. It was that there were
11 deficiencies or limitations, that we then thought that
12 that study would no longer be informative.

13 And in terms of Cocco, I would say that
14 it wasn't just that there were a low number of cases
15 of controls, we also noted that there were some
16 control selection issues. There were other things
17 that weighed into that. And just because it was
18 included by another agency such as IRAC, or even
19 ourselves during 2015, formal quality evaluations were
20 not conducted by either of those instances.

21 Just be aware that, just because
22 something has been included in the past, it doesn't
23 mean that it should be included now.

24 **DR. LAURA GREEN:** Yeah, all fair

1 points.

2 DR. ANNA LOWIT: One more quick one. I
3 know everyone is hungry, including myself. This
4 question is about a review process. And as Dr.
5 Portier has alluded to, we've been working through
6 these issues for a number of years now. The process
7 that you have before you is what we have sort of
8 evolved into.

9 The SAP, back in 2010, recommended we
10 actually come up with a scoring system for
11 epidemiology. In fact, the NAS has pushed the agency
12 to create these scoring systems so that you can put
13 things in bins, and put your emphasis on things of
14 more quality as opposed to -- maybe value is a better
15 word -- science value for your question versus the
16 lower value.

17 To the extent that a few of you had
18 some really constructive comments about reorganizing
19 the table or adding, you now, sort of rejiggering
20 those things, that would be really helpful to make
21 sure it appears in the report. We would also request
22 that you recognize that, if we get advice on
23 eliminating the binning process, it's counter to what
24 the NAS has recommended to the agency, and previous

1 panels have recommended to us.

2 **DR. JIM MCMANAMAN:** All right. I think
3 with that, we'll break for lunch now for an hour.
4 We'll be back at 2:10.

5

6 **[WHEREAS A LUNCH BREAK WAS TAKEN]**

7

8 **DR. JIM MCMANAMAN:** I think we've
9 convened our entire panel and we're ready to read in
10 the next charge question.

11 **DR. ANWAR DUNBAR:** Okay. I will read
12 questions 2(b) and 2(c).

13 Just 2(b).

14 **DR. JIM MCMANAMAN:** Don't confuse us.

15 **DR. ANWAR DUNBAR:** I'm sorry. Please
16 comment on the strengths and limitations of the
17 available studies to inform the association between
18 glyphosate and solid tumors, leukemia, Hodgkin
19 lymphoma and the agency's conclusion regarding these
20 cancer types described in section 3.6.

21 **DR. JIM MCMANAMAN:** Okay. That was Dr.
22 Dunbar from the EPA. And the discussants on this are
23 Dr. Zhang as the lead discussant. Doctors Crump,
24 Green, Johnson, Sheppard and Taioli. We'll start with

1 Dr. Zhang.

2 **DR. LUOPING ZHANG:** For the 2(b)
3 question, I'd like to congratulate the EPA panel. It
4 seems like from the information that I've received
5 from my fellow members, Charge Question 2(b), seems
6 that we mostly agree with your conclusion based on the
7 selected studies; which means it's only focused on the
8 24 human studies from the high and the medium quality
9 scores.

10 Our group generally agrees with the
11 EPA's conclusions, there is no association between
12 glyphosate exposure and solid tumors, leukemia, and
13 Hodgkin's lymphoma. However, the data upon which this
14 evidence is based is very sparse. And based on the
15 tables you provided, Tables 3.3, which include all the
16 solid cancers and 3.4, including non-solid cancers,
17 you can see they are really limited numbers of
18 available human studies, mostly for the specific tumor
19 types. Its' only like one study or two, maximum, for
20 most of the solid cancers, except the multiple myeloma
21 and non-Hodgkin lymphoma. That's the next question,
22 so I don't want to go there.

23 Therefore, I think, the availability of
24 the epidemiological data is still extremely limited,

1 which prevents more in-depth discussion of the
2 association. That's my comment. That's the general
3 information, I gathered, from 2(b) group, but please
4 comment if I missed anything.

5 **DR. JIM MCMANAMAN:** Dr. Zhang, have you
6 concluded?

7 **DR. LUOPING ZHANG:** Yeah, I finished.

8 **DR. JIM MCMANAMAN:** Okay. All right.
9 Sorry. Dr. Crump.

10 **DR. KENNY CRUMP:** I see, essentially,
11 no evidence of an association between glyphosate
12 exposure and leukemia or between glyphosate exposure
13 and any solid tumor, based on the evidence presented
14 in the epidemiological studies. Even if you forget
15 about the possibility of recall bias, there's still no
16 evidence of an effect.

17 I also agree with EPA's conclusions of
18 no evidence of association between glyphosate and
19 leukemia. I also agree with EPA's conclusion of no
20 evidence of association between glyphosate and any
21 solid tumor or Hodgkin lymphoma, based on the evidence
22 that we have currently.

23 However, I would add to say that the
24 data of which this evidence is based is quite sparse

1 and not definitive. That's all.

2 DR. JIM MCMANAMAN: Thank you Dr.
3 Crump. Dr. Green.

4 DR. LAURA GREEN: Remarkably, I have
5 nothing to add.

6 DR. JIM MCMANAMAN: Thank you, Dr.
7 Green. Dr. Johnson.

8 DR. ERIC JOHNSON: The same.

9 DR. JIM MCMANAMAN: Same. Use your
10 microphone.

11 DR. ERIC JOHNSON: Nothing to add.

12 DR. JIM MCMANAMAN: Thank you, Dr.
13 Green. Or, Dr. Johnson. Sorry.

14 Dr. Sheppard. You guys are confusing
15 me.

16 DR. LIANNE SHEPPARD: Yeah. I do have
17 a couple more things to say than my colleagues. I
18 agree that there are generally few studies looking at
19 the various tumors; and that the studies that are
20 available do not suggest that glyphosate elevates
21 cancer risk. However, I thought the summaries of the
22 relevant studies, Table 3.3, should be expanded to
23 consider topics such as the timing of the cases and
24 the timing of the exposure assessment, both with

1 respect to the registration of glyphosate and uses
2 patterns that have changed dramatically over time. We
3 also need more details on the exposure assessment.

4 The dose-response summary should call
5 out that the reference groups were exposed in some
6 cases, particularly in the Agricultural Health Study.
7 Also, whether or not there were any lags considered in
8 the analysis. There are probably a few other things
9 that could also be incorporated in that. I wanted to
10 discuss, and this is a good time to do it, the
11 conclusions that can be drawn from negative
12 epidemiologic study. One of the things that's
13 important is quantifying the risk estimates that are
14 consistent with the effects.

15 I also, as my colleague Dr. Crump did,
16 relied on my former colleague, Norm Breslow and his
17 Breslow and Day text; this time Volume II on the
18 cohort studies, and extracted some stuff verbatim that
19 I think is important for consideration here.

20 For studies in which no excess risk is
21 demonstrated, a complimentary approach should be
22 taken. The data should be examined for their adequacy
23 in ruling out a positive effect, and for the level of
24 excess risk which they are compatible; and also, for

1 whether alternative explanations are possible; i.e.,
2 whether biased or confounding may have produced an
3 apparently negative result when a real effect existed.

4 Now I'm not saying that that's true in
5 this case, I'm just saying that this is an important
6 aspect of interpreting negative results from
7 epidemiologic studies. The evaluation of apparently
8 negative evidence has been the topic of a recent
9 publication. That's a Wald and Dahl paper from 1985.
10 Obviously not recent from our point of view, but
11 recent from when they wrote this textbook.

12 And some of the points that should
13 receive attention; what are the confidence limits of
14 excess risk? And this is, I think, an important point
15 throughout the epi section, is interpreting the
16 confidence limit, both the upper and the lower end.
17 And particularly the upper end and for null effects
18 tells you something about what elevated risk the data
19 are consistent with, and what can we rule out. And
20 that can be important, to think about what's
21 important. What can we rule out? Is it a risk of 1.5
22 that we can rule out, and above? Or is it three or is
23 it nine? I mean, those are very different numbers.

24 How do the dose levels observed in the

1 present study compare with the levels of which other
2 segments of the population are exposed? Has
3 sufficient time elapsed, between the start of exposure
4 and the end of follow up, for a potential risk to have
5 expressed itself fully? And this is a question, I
6 think, has come up several times in the context of the
7 Agricultural Health study.

8 In this respect, it is useful to
9 examine the excess risk seen ten years or more after
10 first exposure; for which, the confidence intervals
11 will surely be considerably wider than for the cohort
12 overall. In fact, in the Agricultural Health Study,
13 it's not clear we even have that ability to do that
14 yet.

15 Is there any reason to suspect that
16 this cohort is substantially lower risk than the
17 general population? Another question that needs to be
18 asked. And what is the consistency with the other
19 studies? I just wanted to get that all in the record.

20 **DR. JIM MCMANAMAN:** Thank you, Dr.
21 Sheppard. Dr. Taioli?

22 **DR. EMANUELA TAIOLI:** Yes. I basically
23 agree with the other discussants of the group. I
24 wanted to stress that for some cancer types, there is

1 only one study available. And usually those cancer
2 types are derived from the same main cohort, so
3 there's very little available to be evaluated. I
4 think that needs to be put on the record.

5 **DR. JIM MCMANAMAN:** Thank you, Dr.
6 Taioli. Okay. I will open this charge question up to
7 other panel members. Any comments?

8 All right. I'll go back to the Agency.
9 Do you need further clarification?

10 **DR. MONIQUE PERRON:** No, we're good.
11 Thank you.

12 **DR. JIM MCMANAMAN:** Okay. Then we'll
13 read the next charge question, 2(c).

14 **DR. ANWAR DUNBAR:** Okay. This is 2(c).
15 Please comment on the strengths and limitations of the
16 available studies to inform the association between
17 glyphosate and multiple myeloma. Please comment on
18 the agency's conclusion as described in Section 3.6.

19 **DR. JIM MCMANAMAN:** Thank you, Dr.
20 Dunbar. The discussants on this are Dr. Taioli, who
21 is the lead discussant, doctors Crump, Green, Johnson,
22 Sheppard, and Zhang.

23 **DR. EMANUELA TAIOLI:** The first thing
24 is that the Agency reported five studies. I believe

1 there are four on multiple myeloma, because it seems
2 to me that the Pahwa (2012) -- I don't know how to say
3 it, and Kachuri (2013) are reanalysis of the same
4 dataset, but that's up for discussion.

5 Three case control studies and one
6 cohort. A total of 67 exposed cases. The reason
7 meta-analysis in 2016, which is Chang and Delzel,
8 which I don't think was available when the report was
9 prepared. The meta-estimate of these four studies is
10 1.4. The intervals are 1 and 1.9. There is
11 definitely insufficient data produced for assessing an
12 association between multiple myeloma and glyphosate.
13 The only available data is the suggestion of a
14 positive association through the meta-analysis.

15 **DR. JIM MCMANAMAN:** Thank you, Dr.
16 Taioli. Dr. Crump.

17 **DR. KENNY CRUMP:** Of the five studies,
18 used to evaluate the relationship of exposure of
19 glyphosate and multiple myeloma, four were case-
20 control studies and one was a perspective cohort
21 study. Only the perspective cohort study, control for
22 exposure to other pesticides. It seems to me the
23 subjects in that study were professional pesticide
24 applicators. And so, the exposure should be a little

1 bit higher, in that study, than other studies of just
2 people. That's a positive for that study.

3 Also, that study was the only one of
4 the five that use the measure of glyphosate exposure
5 that, at least conceptually, captured the full
6 cumulative exposure, intensity-weighted cumulative
7 exposure; as opposed to some of the other studies
8 used, ever/never or days per year, and other case-
9 control studies.

10 I think the prospective, the De Roos
11 study, clearly stands out as being superior when the
12 five studies are considered in a group. In
13 particular, it was the only one that was not subject
14 to potential bias recall. This study provides no
15 convincing evidence of an association between
16 glyphosate and multiple myeloma, although there was a
17 nonsignificant suggestion of a dose response.

18 But, you know, the study involved, at
19 most, 32 multiple myeloma cases -- I shouldn't say
20 power, because it's already been done. Anyway, the
21 power was probably pretty low for taking any
22 association that may exist. I think that's all.

23 **DR. JIM MCMANAMAN:** Thank you, Dr.
24 Crump. Dr. Green.

1 DR. LAURA GREEN: Yes. For the
2 umpteenth time, I am no epidemiologist, but I do want
3 to comment on this, recognizing that I could be wrong
4 about almost everything I'm about to say; I'm willing
5 to be corrected. Unlike my feelings that are going to
6 be expressed a little bit later, regarding NHL, I am a
7 little troubled by multiple myeloma, and here's why.

8 I am fond of the De Roos, et al. study.
9 I realize it has limitations, but I think it's
10 powerful, if I can use that word in the nontechnical
11 sense. And if I can direct my fellow panelists'
12 attention to Table 3 in De Roos, et al. (2005). I
13 don't know which of you all have it. I'll give you a
14 moment in case anyone wants to do it with me. Play
15 along. Raise your hand when you're ready. Okay.
16 Good. All the epidemiologists are with me.

17 I'm going to embarrass myself here,
18 okay, because almost everything I'm going to say is
19 wrong. But I'm going to give it a shot because they
20 are paying us \$50 an hour, so what the hell.

21 You'll see that multiple myeloma is on
22 the bottom row, right? And you'll see that exposure,
23 which I take with a grain of salt because I don't
24 believe these exposure estimates; but for sake of

1 discussion, we'll see that exposure is divided into
2 tertiles. And we'll see risk estimates for multiple
3 myeloma, according to tertiles, going from 1.0, to 1.1
4 to 1.9.

5 Now, as Kenny has pointed out, the odds
6 ratio, that trend is not a significant trend. The P
7 for the trend is .27. But go along to the next
8 column, the intensity weighted exposure days, and if
9 you're following along with me this time, according to
10 tertiles, it goes from 1.0 to 1.2 to 2.1. And the P
11 for trend is now .17.

12 Well, I don't know, that's still not
13 all that impressive, but it's getting there. You
14 know, I'm a little impressed by this, and if I can
15 redirect your attention now to the previous table,
16 Table 2, which I don't understand but I'm very
17 intrigued by. If you look at the bottom row there,
18 there's multiple myeloma. And as was mentioned, there
19 are only 32 cases, which by the way, is not so few.
20 Oh, and by the way, I think, gives lie to the notion
21 that all of these are young people, or more precisely,
22 that there's no power in this study. I mean, there
23 are more than 2,000 cases of cancer in this study.

24 I don't understand why we don't think

1 this is powerful. But again, I'm using "power"
2 perhaps, in the wrong sense.

3 Anyway, we have 32 cases of multiple
4 myeloma in this cohort, after X years of follow-up.
5 Or X is, I forget, seven or something. Three-quarters
6 of whom are explosive glyphosate. Now here's what I
7 don't understand, the relative risk, that is not
8 adjusted for anything other than age, is 1.1. Pretty
9 unimpressive.

10 But when it's adjusted for all kinds of
11 other things, it jumps from 1.1 to 2.6. Now that's
12 like weird to me, okay? Because if you look at all
13 the other adjustments, all the other cancers don't
14 move much when you adjust them. Like, look at lung
15 cancer, okay. The effect estimate adjusted only for
16 ages 1.0 and then when you adjust for everything else,
17 it goes from 1.0 to 0.9. I mean, that feels about
18 right to me. And all the other things don't move
19 around all that much.

20 I don't understand, and I would like to
21 understand, why for multiple myeloma and multiple
22 myeloma alone, the effect of adjusting for not only
23 age, but also so-called demographic and lifestyle
24 factors and other pesticides, more than double the

1 odds ratio. More precisely, doubles the relative
2 risk.

3 Can someone help me out here?

4 **DR. KENNY CRUMP:** Welcome to the
5 wonderful world of statistical modeling. I think it's
6 probably just something you probably cannot -- there
7 is not a particular reason for it, it just happens
8 that way.

9 **DR. LAURA GREEN:** Oh, come on. Really?

10 **DR. KENNY CRUMP:** My guess.

11 **DR. LAURA GREEN:** Really? It just
12 happens?

13 **DR. KENNY CRUMP:** Sorry. That's what I
14 think.

15 **DR. LAURA GREEN:** Okay. Anyone else?

16 **DR. JIM MCMANAMAN:** That was Dr. Crump.
17 Dr. Sheppard.

18 **DR. LIANNE SHEPPARD:** Well, there's a
19 couple of reasons. One is the reason I cited earlier,
20 there are 32 cases and 23 parameters in that model.
21 There is also --

22 **DR. LAURA GREEN:** Wait, wait. I'm
23 sorry. Does that mean that I should disbelieve it or
24 I should believe it more?

1 DR. LIANNE SHEPPARD: I'm less likely
2 to believe it.

3 DR. LAURA GREEN: You think it's just
4 bogus?

5 DR. LIANNE SHEPPARD: Well, I wouldn't
6 go that far. All epi results should be taken with a
7 grain of salt. I would put more grains of salt in
8 this evaluation than others.

9 DR. LAURA GREEN: I should not be
10 worried by this, or not impressed by this?

11 DR. LIANNE SHEPPARD: Well, I think,
12 you know, picking out one cancer with these issues to
13 focus on, and excluding another cancer, which is where
14 we're probably going to go next with non-Hodgkin
15 lymphoma, with sort of the opposite issues, when the
16 issues are the same sort of in both of them, I would
17 just down-weight all of it, is my opinion.

18 DR. LAURA GREEN: Okay. Well, that's
19 helpful.

20 DR. LIANNE SHEPPARD: There's a couple
21 of other things. There is a Sorahan paper, as it says
22 in the EPA document -- it's funded by Monsanto -- that
23 did some reanalysis. Because there is a lot of
24 selection that goes on in the two different columns in

1 this Table 2. There is quite a huge dropout,
2 somewhere it says, but I don't have it in the front of
3 my mind.

4 **DR. LAURA GREEN:** Yeah, it's Footnote
5 F.

6 **DR. LIANNE SHEPPARD:** Yeah. There's a
7 huge number of people that have been dropped because
8 they couldn't remember which of the 15 pesticides they
9 asked about. They couldn't remember about all of
10 them. And if they couldn't remember one of them, they
11 were booted from the analysis.

12 **DR. LAURA GREEN:** I see. It's just a
13 lot of messing around, basically. Or something.

14 **DR. LIANNE SHEPPARD:** Well, there's a
15 lot of something.

16 **DR. LAURA GREEN:** Okay.

17 **DR. LIANNE SHEPPARD:** Yeah. And then
18 the other thing to be aware of on Table 3, you've only
19 got 19 cases. Well, that's partly because of this
20 selection that goes on because of the pesticide
21 adjustment, where you've got 19 cases and still got 15
22 parameters for pesticide adjustment plus the other
23 adjustments that are made.

24 **DR. LAURA GREEN:** But we do have a dose

1 response --

2 **DR. LIANNE SHEPPARD:** Yeah, I
3 understand that. And actually, the highest estimate,
4 the 2.1 estimate for the intensity-weighted, is fairly
5 well reproduced by the sensitivity analysis of
6 Sorahan. That's an interesting point, you know where
7 they look that the selection issues. I haven't
8 drilled down well enough to say, you know, how well
9 all of them are. And, you know, picking one number
10 out of a bunch is fraught with peril. But that one.
11 At least, I think their estimate was like 1.8 or
12 something, so it's not 2.1, but it's not that far off.

13 But also, yeah, we've only got 19 cases
14 in that analysis. Also, the referent group is
15 exposed, so what does that number mean?

16 **DR. LAURA GREEN:** But doesn't that bias
17 the estimate toward the null?

18 **DR. LIANNE SHEPPARD:** It should make it
19 lower. You're right. It should make it lower.
20 Because you're comparing towards somebody who is
21 presumably elevated in risk, so the comparison --

22 **DR. LAURA GREEN:** Well, potentially
23 elevated. But it seems to me it doesn't bias it --

24 **DR. LIANNE SHEPPARD:** Presumably, yeah.

1 DR. LAURA GREEN: -- away from the
2 null, certainly.

3 DR. LIANNE SHEPPARD: Well, it's not --
4 I wouldn't call it biased; I would call it a different
5 comparison than the one people tend to think about
6 when they think about this analysis.

7 DR. LAURA GREEN: Okay. Let me press
8 you a little bit more. There's another reason I'm
9 interested in this, and a special reason that I'm
10 really eagerly awaiting De Roos, et al. (2017), or
11 whatever.

12 Multiple myeloma is, of course, a form
13 of lymphoma, but it is a separate thing. And although
14 you can sort of classify it as a lymphoid neoplasm,
15 which it is, it's not NHL, and it's never really been
16 NHL, except in some weird sense.

17 Okay. That's the first thing.
18 Multiple myeloma is easy to diagnose and distinguish
19 from the other types of B-cell lymphoma, number one.

20 Number two, multiple myeloma is not a
21 cancer -- correct me if I'm wrong, Dr. Infante, or
22 anyone else. But I believe that multiple myeloma is
23 not a cancer, which we typically see, in elevation, in
24 farmers. That's interesting to me.

1 We have a cancer here. It's a
2 lymphoma, to be fair, but it's not a sort of farmer's
3 lymphoma. Okay. And it's a weird kind of cancer.
4 All right? Because as you may know, basically nothing
5 causes it. You can smoke until the cows come home,
6 you don't get multiple myeloma at any higher rate than
7 a lifelong non-smoker.

8 Multiple myeloma is like a really weird
9 disease. And on the one hand, sure, we only have X
10 number of cases, but, you know, it's more than five or
11 ten. I mean, correct me if I'm wrong, but
12 epidemiologists are often making conclusions based on
13 like, ten cases. Right?

14 I think at a minimum, I mean,
15 obviously, I'm not an epidemiologist, I don't
16 understand the statistics, I don't know why these
17 trends are not statistically significant. They're
18 sort of getting close. I don't know if I should care
19 about that. But I think at a minimum, unlike NHL,
20 about which I am very agnostic, I at least think that
21 multiple myeloma is something that we should, at
22 least, keep a very open mind about. And I'm not
23 completely sure that that's reflected in the document.

24 I'm not saying this is evidence that

1 glyphosate is associated with multiple myeloma, I
2 think that is too strong. But it looks a lot more
3 dose-related, to this toxicologist, than anything
4 else. And I just think we need to worry about it, at
5 least a little.

6 **DR. LUOPING ZHANG:** Could I just follow
7 -- thank you, Dr. Green. Actually, you raised the
8 question --

9 **DR. JIM MCMANAMAN:** Is it related?

10 **DR. LUOPING ZHANG:** This is related.
11 Okay. When the data on multiple myeloma looks like --
12 you know, seems that if we could fairly conclude if
13 there is a non-statistically significant trend there.
14 That's basically your question, right, look at the
15 data.

16 But I'd like to hear from the
17 biostatistician, what do you think? If you look at
18 the relative risk, it is kind of increased from 1.0 to
19 1.1 to 1.9 or just from 1.0, 1.2 to 2.1. So even
20 though it is not significant, but my basic question is
21 that, we can say this is a statistically
22 nonsignificant trend? I'm just trying to see if
23 that's basically your question.

24 **DR. LAURA GREEN:** That is my question.

1 **DR. LUOPING ZHANG:** Yeah. It's
2 basically mine too. It's good. Back to your multiple
3 myeloma question, I think, number one, multiple
4 myeloma is a cancer.

5 **DR. LAURA GREEN:** Of course.

6 **DR. LUOPING ZHANG:** And I think it's
7 also associated with many different chemical
8 exposures.

9 **DR. LAURA GREEN:** No, not in my
10 opinion. No. I was only saying that it is a lymphoid
11 neoplasm to be sure, of course. I mean, it's a cancer
12 of antibody-forming cells.

13 But my point is, it is so readily
14 distinguishable clinically, and pathologically from
15 other lymphomas, that it is not like NHL. And when we
16 say, in colloquial terms, NHL has increased in farmers
17 a lot, we don't mean multiple myeloma; we mean all
18 those other B-cells and T-cells lymphomas.

19 **DR. LUOPING ZHANG:** Separate them.
20 Definitely. Okay.

21 **DR. LAURA GREEN:** Okay.

22 **DR. LUOPING ZHANG:** Yeah, Okay.

23 **DR. JIM MCMANAMAN:** Okay. Actually,
24 before we open it back up to the entire panel, Dr.

1 Johnson gets a chance at this first. Dr. Green, are
2 you complete with your comments?

3 **DR. LAURA GREEN:** Well, for now.

4 **DR. ERIC JOHNSON:** I want to correct
5 the fact that multiple myeloma is one of those cancers
6 associated with farming.

7 **DR. LAURA GREEN:** Oh, is it?

8 **DR. ERIC JOHNSON:** It is. And also,
9 we're seeing it in poultry workers, also in meat
10 workers. It is.

11 **DR. LAURA GREEN:** Really? I stand
12 corrected.

13 **DR. ERIC JOHNSON:** And another thing we
14 have to take into account is that all these analyses
15 involve multiple comparisons. I mean, we have like,
16 27 or 30, 50 chemicals which have been analyzed for.
17 And for us to be giving weight to nonsignificant
18 findings is a little bit troubling. Let's look at it
19 and ask this question. If the odds ratio relative
20 risk is 0.5, should I say that then this thing is
21 protective?

22 **DR. LAURA GREEN:** Yes.

23 **DR. ERIC JOHNSON:** At 0.5?

24 **DR. LAURA GREEN:** It depends on the

1 confidence level.

2 **DR. ERIC JOHNSON:** When it's not
3 significant? I mean, we would have a job, really,
4 going through all those odds ratios, which are not
5 seen. It's really problematic. We fuss a lot about a
6 priori when we have statistically significant results,
7 and now we want to include nonsignificant results? It
8 would be a nightmare.

9 **DR. LAURA GREEN:** I take your point.
10 And first of all, thank you for correcting me. I did
11 not know that multiple myeloma was increased in
12 farmers. I stand corrected.

13 I was careful, I think, to say I don't
14 take this as evidence of an association. I take it,
15 instead, as something a little bit less than that, but
16 more than dismissing it. And let me be very precise;
17 if, when we get the paper, next year, whenever De
18 Roos, et al. get around to writing up the data, and
19 let's say instead of 32 cases of multiple myeloma, we
20 have, I don't know, pick a number, 70.

21 Let's say now we have 70 cases of
22 multiple myeloma. All I'm saying is, would we be
23 surprised, if with 70 cases, all of a sudden, the dose
24 response relationship, which we see preliminarily in

1 here, is reproduced; and because we have twice as many
2 cases, now it's a statistically significant trend?

3 I'm only trying to think ahead and say,
4 that I don't think we should be surprised if the
5 follow up -- I mean, if these first seven years are
6 reproduced in the next ten years, or whenever the
7 follow-up is, we're going to have at least twice as
8 many cases. And I don't think any of us should be
9 surprised if this "suggestive" dose-response
10 relationship becomes a significant one. I'm not
11 saying it will. I'm not saying it won't.

12 I'm just saying that, unlike the solid
13 tumors, which I, for one, am dismissing as being like
14 just never going to happen. I mean, the results from
15 De Roos, et al. are impressive to me. There are a lot
16 of person years here. I know there's only seven
17 years, but there's a lot of person years. And I was
18 taught that that's what matters.

19 I mean, there are 2,000 cases of cancer
20 in this paper, okay. This is not a nothing paper.
21 This paper has small confidence intervals around colon
22 cancer, around lung cancer. This paper is
23 definitively negative for everything except multiple
24 myeloma. And I just don't know how worried to be

1 about it. And I don't think we should just say it's a
2 non-positive finding that convinces us. And maybe
3 that's not where we were saying. And I'm not saying it's
4 a positive finding, but if I can use a word I like, I
5 think it's equivocal.

6 **DR. JIM MCMANAMAN:** All right. Thank
7 you, Dr. Green. Dr. Johnson, do you have anything
8 more to add to the charge question?

9 **DR. ERIC JOHNSON:** No.

10 **DR. JIM MCMANAMAN:** Okay. We'll move
11 on then. I'm still working through this. Dr.
12 Sheppard is next.

13 **DR. LIANNE SHEPPARD:** Thank you. You
14 know, I think this has been a very interesting
15 discussion. And I can understand from your scientific
16 basis, why you are intrigued by this result. I think
17 if we're going to upweight the multiple myeloma result
18 in the Agricultural Health Study, we also have to
19 upweight the non-Hodgkin lymphoma, dose-response
20 result.

21 We have to be fair. But my feeling is
22 that I would take both of them with a pretty big grain
23 of salt. When the study is done later, it wouldn't be
24 a surprise if we see a dose response in both. That's

1 my opinion. But, you know, we're not there. We don't
2 know that. I think there are reasons to be somewhat
3 more concerned about the multiple myeloma results, not
4 because of the science; I'm speaking as a
5 statistician, and I appreciate the scientific
6 perspective; I think we get a full point of view when
7 we have both, but because of the small numbers.

8 That's why I'm more concerned about the
9 multiple myeloma results, even though they popped out
10 as more interesting, than I am about the non-Hodgkin
11 lymphoma, relatively speaking. But frankly, I don't
12 trust either of them. I think it's just too early to
13 say, overall.

14 I think they're intriguing. If
15 anything, they're something to pay attention to. Do
16 they give us evidence that this isn't? You know, no.
17 Maybe they are suggestive, but the maybe is still in
18 there, I think. The epi evidence is what it is.

19 I wanted to speak a little bit more
20 with -- well, for me, the fact that this outcome is
21 somewhat connected with non-Hodgkin lymphoma,
22 scientifically, leads me -- that's one reason why I
23 might trust it a little bit more. You actually
24 provided a compelling case why you think of it

1 differently. But I've heard it stated that it should
2 be in the same basket. And so, from that point of
3 view, I consider it a little bit stronger than I might
4 have otherwise.

5 There are some things I wanted to say
6 about the Agricultural Health Study. Forgive me, if
7 I'm repeating myself a little bit. I want to make
8 sure it all gets in the record. We've acknowledged
9 that it's licensed pesticide applicators, so it's not
10 only agricultural workers, but specifically, those
11 seeking licenses for, and intending to use pesticides.
12 I wonder how that affects, for instance, organic
13 farmers if they're systematically excluded from the
14 target population. It also misses pesticide users who
15 aren't registered.

16 For instance, in my own state of
17 Washington, pesticides are allowed to be applied by
18 individuals who aren't registered, as long as it's
19 done under the supervision of registered users. The
20 missing maybe less of a scientific issue, as long as
21 it's representative of the target population. But I
22 would think we're thinking of the target population
23 here as all farmers. And I'm not convinced that it is
24 all farmers. And if anybody has insights into that,

1 I'd be really open to hearing those. That's one thing
2 that concerns me. And I really wonder who the
3 unexposed members of the Agricultural Health Study
4 are, given they're all licensed applicators of
5 pesticides.

6 It almost seems like it's an
7 unrepresentative, unexposed population because you
8 have to be licensed in order to get in the study. I
9 just wonder if there's a systematic difference. In
10 fact, the reason that De Roos, et al. didn't use
11 unexposed workers in their dose response analyses, was
12 because they were concerned that the unexposed group,
13 based on the evidence in Table 1, was systematically
14 different from the more highly exposed workers.

15 They made that choice, intentionally,
16 for scientific reasons that were well grounded in
17 their thinking. But that also, I think, you know,
18 gets back to my generic concern about this study.

19 The fact that, as my colleague, Dr.
20 Taioli, already talked about the selection issues; the
21 population is potentially over-represented by workers
22 that are less susceptible to carcinogenic effect of
23 pesticide exposures. And workers with short latency
24 wouldn't have been sampled if they had already gotten

1 their cancer. And we've heard about the age
2 distribution being on average, young. That doesn't
3 mean there aren't older people in the cohort, but the
4 median age is pretty low.

5 One thing that I alluded to, at some
6 point, and I wanted to make sure is clear, is the data
7 analysis with the exposed/unexposed, which I think
8 also affects the -- not the exposed/unexposed, the
9 dose response analysis.

10 I think there's a potential for really
11 severe bias because of the fact that the cumulative
12 exposure days and the intensity-weighted exposure is
13 all based on baseline, which happened between 1993 and
14 1997. And I guess, based on some of the statistics,
15 it seems like most of the recruitment happened closer
16 to '93/'94, is the impression I got from some of what
17 I read.

18 But there was a huge increase because
19 of the licensing for GMO foods in 1996. And so, all
20 of the people that were in the exposed group, that
21 were in the low group, they're more likely to be
22 misclassified higher, systematically higher. Because
23 as you follow them over time, right? Because okay, in
24 '93, '94, or '97 when their baseline question, when

1 you're close to it, that's a decent measure.

2 But as you follow them up to 2001, say,
3 then because of the increased usage of the pesticides,
4 they would've -- presumably, if they were users, they
5 would've increased their use. They might've moved
6 into another category. Whereas, it's unlikely that
7 the higher exposed people would've moved down a
8 category because of the change in the registration and
9 the use of glyphosate.

10 There's this interplay between the
11 study and the overall trends in society that were
12 going on, that have to be thought about and haven't
13 really been brought out at all. I'm actually pretty
14 worried about all of the dose response analyses in the
15 Agricultural Health Study for that reason. And
16 another reason why I don't put as much credibility on
17 that multiple myeloma, nor the non-Hodgkin lymphoma
18 one either for the same reason. Because the
19 misclassification is almost certainly biased towards
20 too many people in the low exposure group, and as you
21 move forward in time in the study.

22 And I see my colleague, Ken Portier,
23 thinking about that. I'd love to talk about that more
24 because that's not something that's come out at all.

1 But I work a lot in air pollution epidemiology and
2 I'll probably say something about that later because
3 there is some big fundamental differences and insights
4 from that. But we think a lot about pollution trends
5 because that's a big deal in air pollution. The Clean
6 Air Act has actually worked really, really well.

7 **DR. LAURA GREEN:** Thank you, EPA.

8 **DR. LIANNE SHEPPARD:** Yes. Thank you,
9 EPA. And because of that, you know, there's a big
10 trend in society that's relevant to responses; and so,
11 you need to think about that in the context of these
12 studies. And EPA did bring that up in their document,
13 although I think how they brought it up was incorrect.
14 And I'll try to make some more comments about that,
15 but not about this outcome, when I speak again later.

16 I've already talked a couple of times
17 about the large number of parameters. And I think
18 with multiple myeloma, that's even more of a concern.
19 And in an exposure response analysis, you know, there
20 are 19 cases, and the pesticides alone is 15
21 parameters. And then just another thing, with respect
22 to the adjusted analyses in Table 2, we don't even
23 know how many cases were lost, which is another thing
24 that's not transparent and not helpful. That's it.

1 DR. JIM MCMANAMAN: Thank you, Dr.

2 Sheppard. Dr. Zhang.

3 DR. LUOPING ZHANG: No more additions.

4 DR. JIM MCMANAMAN: Thank you, Dr.

5 Zhang.

6 Okay. So now we'll open this up to the
7 entire panel. Dr. Green?

8 DR. LAURA GREEN: Actually, I want to
9 hear from Dr. Zelterman first.

10 DR. JIM MCMANAMAN: Okay. Good.

11 DR. LAURA GREEN: What do you mean
12 good?

13 DR. DANIEL ZELTERMAN: Very good. I
14 can help you out here. How is that you find three
15 odds ratios increasing? It's not significant. You
16 get a P value of .1 -- I'll tell you where that comes
17 from.

18 Okay. There are three odds ratios and
19 they come with enormous confidence intervals. For the
20 most part, they're really the same. So now take a
21 sample of size 3 from the same thing --

22 DR. LAURA GREEN: Wait, wait, wait.
23 Let me follow this.

24 DR. DANIEL ZELTERMAN: There's three

1 odds ratio --

2 DR. LAURA GREEN: Well, they all
3 overlap.

4 DR. DANIEL ZELTERMAN: Enormous
5 overlap.

6 DR. LAURA GREEN: Correct. Okay.

7 DR. DANIEL ZELTERMAN: They're all
8 huge.

9 DR. LAURA GREEN: But the point
10 estimates double.

11 DR. DANIEL ZELTERMAN: Even so. Even
12 so. Here goes.

13 DR. LAURA GREEN: Okay.

14 DR. DANIEL ZELTERMAN: Here goes. I've
15 got to put on my mathematics hat. I have three
16 numbers that are sampled from the same population;
17 what is the probability they're increasing?

18 The answer is one of six, which gives
19 you a P --

20 DR. LAURA GREEN: I'll take your word
21 for it.

22 DR. DANIEL ZELTERMAN: Well, you have
23 three choices with the first one, the smallest; and
24 you have two choices for the second.

1 DR. LAURA GREEN: A factorial.

2 DR. DANIEL ZELTERMAN: Yeah, it's a
3 factorial. And you have two choices, the second and
4 the third one is the biggest. The P value is one over
5 six or .17.

6 DR. LAURA GREEN: So you're telling me
7 this is exactly what could be consistent with chance?

8 DR. DANIEL ZELTERMAN: With chance.
9 That's exactly what you saw.

10 DR. LAURA GREEN: Okay. Here's why I
11 don't get that.

12 DR. DANIEL ZELTERMAN: Oh. I thought
13 it was so --

14 DR. JIM MCMANAMAN: Come on, just
15 embrace the math.

16 DR. LAURA GREEN: No. Well, okay, I
17 get it. But why does the P value for trend -- can we
18 look together at that table again?

19 The P value for the trend in the first
20 time when it goes from 1.0 to 1.1 to 1.9 is .27; and
21 then it's 1.0 to 1.2 to 2.1 to .17.

22 DR. DANIEL ZELTERMAN: The second one.

23 DR. LAURA GREEN: Yeah, but why is it
24 getting so much closer to like, .05, if what you just

1 said is true?

2 **DR. DANIEL ZELTERMAN:** No, no. It's
3 getting closer to one over six. Because the
4 confidence intervals are so big, the intervals are
5 essentially sampling the same thing.

6 **DR. LAURA GREEN:** Okay. I hear you. I
7 should not be impressed by this?

8 **DR. DANIEL ZELTERMAN:** No, don't be
9 impressed.

10 **DR. LAURA GREEN:** Okay. I'm
11 unimpressed. But I still want to say a couple of
12 things. I want to ask a few more questions about De
13 Roos. Am I right or wrong that it's person years at
14 risk that matter as opposed to just years? Which is
15 it?

16 **DR. LIANNE SHEPPARD:** Person years.

17 **DR. LAURA GREEN:** It's person years.

18 **DR. JIM MCMANAMAN:** That was Dr.
19 Sheppard.

20 **DR. LAURA GREEN:** Thank you, Professor
21 Sheppard. I should take your course in Epi 101.

22 Okay. It's person years and we have
23 57,000 people and 2,000 cancer deaths. Why is this
24 not a useful study? I don't get it.

1 DR. LIANNE SHEPPARD: Well, we're not
2 talking mostly about the all-cancer analyses. We're
3 talking about the subgroup that's only got 32 cases in
4 it.

5 DR. LAURA GREEN: Well, for multiple
6 myeloma, yeah; but for NHL, we got 92 cases, 77
7 percent of whom are exposed to glyphosate.

8 DR. JIM MCMANAMAN: Wait. The charge
9 question is multiple myelomas.

10 DR. LAURA GREEN: Oh. I'm sorry. We
11 can stick with multiple myeloma. Okay.

12 DR. JIM MCMANAMAN: We just had Dr.
13 Zelterman explain this.

14 DR. LAURA GREEN: Sorry. Go ahead.

15 DR. EMANUELA TAIOLI: What happens is
16 that if you have a follow-up, that is not enough to
17 have people develop cancer. You have a small number
18 of cancer even if you start with 2 billion people.
19 And then the other issue is, as always in the cohort
20 study, you have a chance to set one-time exposure.
21 Like, it happens with smokers, right.

22 DR. LAURA GREEN: Right.

23 DR. EMANUELA TAIOLI: These are the
24 smokers and then they quit smoking, you will never

1 know. You have classified them as smokers, unless you
2 interview them again, right. That's why, I think, the
3 general comment is that we are missing a lot of pieces
4 in this study. If we had another follow-up, more
5 cases --

6 **DR. LAURA GREEN:** Yeah, but you can --

7 **DR. EMANUELA TAIOLI:** -- you can say
8 something. But right now it's very difficult because
9 the uncertainty is very large.

10 **DR. LAURA GREEN:** But it's not. I
11 mean, look at the confidence levels, they're pretty
12 tight. I mean, I'm sorry, but even for multiple
13 myeloma, for which we only have a lousy 32 cases,
14 okay; the confidence interval about the age-adjusted
15 odds ratio spans not .5 to 2.4. That's tighter than
16 in a lot of the other data that we're like, taking
17 seriously. And I mean, correct me if I'm wrong, but
18 we have a reasonably tight confidence interval.

19 We have 75 percent of these cases are
20 exposed to glyphosate. We have more glyphosate
21 exposure than probably any other study because these
22 are licensed pesticide applicators. And they were
23 exposed for years before they were interviewed. So
24 like, I don't get it.

1 **DR. JIM MCMANAMAN:** Okay. We have to
2 a) use your microphone, and b) identify yourself. I'm
3 going to get hit in the back of the head by the people
4 doing the transcription.

5 Okay. That was Dr. Taioli and Dr.
6 Green during that interchange. Dr. Portier.

7 **DR. KENNETH PORTIER:** I wanted to get
8 back, just briefly, you raised the issue of it moving
9 from 1.1 to 2.6 after the adjustment.

10 **DR. LAURA GREEN:** Yes.

11 **DR. KENNETH PORTIER:** When I see
12 something like that happen, I worry that we started
13 out with an unbalanced case control population. That
14 something in the adjustment shifted things. You may
15 have had younger in the case group and older in the --
16 or the other way around in the case of multiple
17 myeloma. You may have had older in the case group and
18 younger. And the age adjustment is trying to bring
19 them together and the odds ratio shows up.

20 Anytime it jumps like that, I go back
21 and look at the demographics to find out where was the
22 unbalance. The second thing is, when I think of
23 multiple myeloma, I don't think of a leukemia. I
24 think of a myeloma, which is a myelin cancer, right.

1 That's not a leukemia. That's a nerve sheath issue,
2 right.

3 **DR. LAURA GREEN:** No, it's a B-cell
4 cancer. It's an antibody-forming cancer. You're
5 thinking of something else. Multiple myeloma is a
6 cancer of antibody --

7 **DR. JIM MCMANAMAN:** It's the myeloid
8 cells.

9 **DR. KENNETH PORTIER:** The myelin cells.
10 Not myelin, myeloid. Okay. But isn't it also -- I
11 thought multiple myeloma was more of a cancer of aged.

12 **DR. JIM MCMANAMAN:** It is.

13 **DR. KENNY CRUMP:** It particularly shows
14 up much later in life.

15 **DR. LAURA GREEN:** Um, no.

16 **DR. JIM MCMANAMAN:** Right. That's
17 true.

18 **DR. LAURA GREEN:** Actually, that's not
19 true.

20 **DR. JIM MCMANAMAN:** It is true.

21 **DR. LAURA GREEN:** No, it isn't.

22 **DR. KENNETH PORTIER:** I'm pretty sure
23 it is.

24 **DR. LAURA GREEN:** Well, we could look

1 it up after a break.

2 DR. JIM MCMANAMAN: Okay.

3 DR. KENNETH PORTIER: That's why I
4 picked on age. Because I suspect you may have seen
5 the cases where actually quite older.

6 DR. LAURA GREEN: No, no, no. You're
7 misreading the table, if may say. If you look at
8 Table 2 --

9 DR. KENNY CRUMP: I don't have Table 2
10 in front of me. I'm sorry.

11 DR. LAURA GREEN: Oh, I'm sorry. Okay.
12 Well, the odds ratio of 1.1 is already age adjusted.
13 Okay. It's already age adjusted.

14 DR. LIANNE SHEPPARD: I don't think
15 it's a single parameter for age, which suggests it's
16 in there linearly as one, but it's in there, age
17 adjusted, yeah.

18 DR. JIM MCMANAMAN: That was Dr.
19 Sheppard.

20 DR. KENNETH PORTIER: It is already
21 age-adjusted.

22 DR. JIM MCMANAMAN: And Dr. Portier and
23 Dr. Green.

24 DR. KENNETH PORTIER: Yeah, I

1 apologize.

2 **DR. LAURA GREEN:** And I also want to
3 say, this is not a young cohort. I don't know why we
4 keep saying this. 825 of these guys have prostate
5 cancer. They are not young men. I mean, I'm sorry,
6 it's just wrong.

7 **DR. JIM MCMANAMAN:** Okay. Let's go
8 back to the charge question and limit our discussion,
9 at this point, to the charge question. I think we've
10 discussed the myeloma, but if we're veering off into
11 prostate cancer, then we have to --

12 **DR. LAURA GREEN:** I'm sorry.

13 **DR. JIM MCMANAMAN:** So Dr. Johnson, do
14 you have --

15 **DR. ERIC JOHNSON:** What I was going to
16 say, because it had come up earlier in one of the
17 presentations, this issue about age and risk; whether
18 you should not observe risk because the cohort is
19 young. That is not true.

20 You can have a young cohort and still
21 observe a high relative risk in that young cohort. I
22 mean, we've done studies in which we were looking at
23 benzene exposure in supermarket workers. And we had
24 lung cancer occurring in food workers, 100 persons of

1 the lung cancers were below 50. And the relative risk
2 was like 54 for that age group.

3 If you look at the entire population,
4 it would be like 1.1 something. But when you look at
5 particular age group, the relative risk was like 54;
6 even though it was less than 5 percent of lung cancers
7 which were below age 50. You can still get high
8 relative risk, even in the young population.

9 **DR. JIM MCMANAMAN:** But these are age
10 matched, or control for age, so I think that that
11 takes age out of the equation, as I understand it.

12 **DR. LAURA GREEN:** Yeah. I would add
13 that as I said before, for lymphomas, the strongest
14 risk factor known to man, besides organ
15 transplantation, is HIV/AIDS. And those guys were 20-
16 year-old men getting lymphoma at age 30.

17 **DR. JIM MCMANAMAN:** Okay. Dr. Zhang.
18 Are we on myeloma?

19 **DR. LUOPING ZHANG:** Yes.

20 **DR. JIM MCMANAMAN:** Okay.

21 **DR. LUOPING ZHANG:** I forgot to mention
22 one thing. I think from the table, the multiple
23 myeloma, only they mentioned five studies and, Dr.
24 Taioli, you're saying it's only four. My question is,

1 I think, maybe the panel need to also think, did we
2 miss any other multiple myeloma studies in the low
3 score?

4 I don't know. I mean, this is on my to
5 do list that I should check, but I haven't got a
6 chance to check. I just wanted to put it in just in
7 case a panel member --

8 **DR. JIM MCMANAMAN:** Okay. We can read
9 that issue into the docket and we can say that we'll
10 look at that.

11 All right. I think we've discussed
12 this quite a bit, and I don't know that there's a
13 complete consensus among the panel members. But let
14 me go to the Agency and ask if clarification is
15 needed?

16 **DR. MONIQUE PERRON:** Not at this time.
17 No. Thank you.

18 **DR. JIM MCMANAMAN:** Okay. Thank you.
19 All right. Then we'll move on to Charge Question
20 2(d).

21 **DR. LAURA GREEN:** Actually, I don't
22 mean to monopolize, but actually, I want to amend my
23 statements because I've learned something. Can I do
24 that, so that we can get a little bit more of a

1 consensus on the myeloma question?

2 **DR. JIM MCMANAMAN:** I don't think we
3 need to.

4 **DR. LAURA GREEN:** Okay.

5 **DR. JIM MCMANAMAN:** I think they picked
6 it up. Okay. Charge 2(d).

7 **DR. ANWAR DUNBAR:** Okay. This is
8 Charge Question 2(d).

9 Please comment on the strengths and
10 limitations of the available studies to inform the
11 association between glyphosate and non-Hodgkin
12 lymphoma (NHL). Please comment on the agency's
13 conclusion as described in section 3.6

14 **DR. JIM MCMANAMAN:** Okay. Before I go
15 to the next charge question, that was Dr. Green, for
16 the transcribers. We have to remember to use our
17 names. And that was Dr. Perron who addressed the
18 issue about Charge Question 2(c). We're now back on
19 track.

20 Okay. The lead discussant on this is
21 Dr. Zhang. The associate discussants are doctors
22 Crump, Green, Johnson, Sheppard, and Taioli.

23 Dr. Zhang.

24 **DR. LUOPING ZHANG:** Dr. Chair, if I

1 may, I would like to make a suggestion for Charge
2 Question No. 2(d). If we could somehow change our
3 discussion; because I think everybody here already
4 know that Charge Question 2(d) is very important,
5 regarding the association of glyphosate with non-
6 Hodgkin lymphoma. I'd like to just make a suggestion
7 and see if you agree.

8 I asked the group previously, trying to
9 make the team -- and collecting everybody's response
10 to the charge question 2(d); so here, what I did was
11 reframed the question, each question, before I'm
12 trying to do the whole thing or each one. I think the
13 way so far, on the one hand okay, I'm leading now
14 next.

15 I want to, for 2(d), at lease just for
16 this question, I want to say here is the framed
17 question and there is, you know, some suggestion.
18 Could we have the member answer that first, then open
19 to table, and then we move to the next. Otherwise, it
20 is going to be -- because I have quite a few
21 questions.

22 I just want to make it clear and it's
23 easier to go through this discussion. Is that okay?

24 **DR. JIM MCMANAMAN:** Sure.

1 DR. LUOPING ZHANG: Because you also
2 encouraged sort of discussion among the members.

3 DR. KENNETH PORTIER: We can take a
4 vote.

5 DR. LUOPING ZHANG: Because we never
6 got a chance, as a group, to really discuss. I think
7 this is a good time to do it.

8 DR. JIM MCMANAMAN: I think that some
9 free form is okay. But we'll try to keep it under
10 control.

11 DR. LUOPING ZHANG: Okay. For 2(d);
12 after collecting all the comments and the response
13 from this group, including the emails and also some
14 discussions -- just our discussion -- I have these few
15 questions framed.

16 First are all studies, including
17 original or meta-analysis selected, if it's
18 acceptable. Here are the six original studies, we
19 know, which is six, and the three recent meta-
20 analysis. Right? I just want to make sure this
21 question, as a panel, how we want to comment.

22 The six original, of course, the one
23 cohort and the five case-control studies, that's
24 what's included in the whole EPA analysis, and the

1 three recent meta-analysis from 2014, 2015, and 2016.

2 My question here, it's just I try to
3 stimulate the discussion because this has already come
4 here a few times. For example, Cocco (2013), you
5 know, which is in the low category, but you also
6 notice the two human studies in the low category,
7 which include Cocco (2013) and the Koureas (2014),
8 sort of in the low, but it does have some special
9 quality, you mentioned from your presentation.

10 Of course, Koureas (2014) is not
11 related with non-Hodgkin lymphoma, so we don't have to
12 talk about it. Because that's only related to the
13 prostate cancer, so that's out. But then Cocco
14 (2013). It raises the question here for panel members
15 to discuss. Should we also include that data from
16 Cocco (2013)?

17 I forgot. Today, probably commented
18 from somebody. I thought maybe Dr. Infante -- did you
19 include in that in your analysis? That's one.

20 Second is also maybe from Dr. Infante's
21 presentation, I noticed the Hohenadel (2011) somehow
22 replaced the McDuffie (2001). That's basically how
23 the question comes about, what studies we should
24 include in this non-Hodgkin lymphoma study.

1 Here is Question number one. Let me
2 stop here. Let's get this sorted out and then I'll
3 move to the second question. Is that okay? Yeah.

4 Any comments on --

5 **DR. JIM MCMANAMAN:** Are we opening up
6 this question about which study should be included to
7 the entire group? I'm okay with that.

8 Dr. Green.

9 **DR. LAURA GREEN:** Yeah. I think the
10 most complete list, unless I'm wrong -- correct me if
11 I'm wrong -- is the one that Acquavella put together
12 in their (2016) paper, Table 1. I don't know which
13 one of you has that in front of you that can bring it
14 up.

15 **DR. LUOPING ZHANG:** Which study?

16 **DR. LAURA GREEN:** I'm sorry. Okay.
17 It's Acquavella, et al. (2016). It's one of those
18 clinical reviews and toxicology papers that came out,
19 you know, a couple of months ago. And if you look at
20 Acquavella, et al. Table 1, this is their listing of
21 "Relevant studies for glyphosate review: non-Hodgkin's
22 lymphoma." They call it not Hodgkin's, which I don't
23 like, but anyway, "non-Hodgkin's lymphoma and multiple
24 myeloma." And they have a list of like eight or nine

1 studies, some of which are overlapping, that they
2 consider to be relevant for either NHL, as a whole, or
3 as Dr. Infante pointed out, Cocco et al. just reports
4 on B-cell lymphoma.

5 Although, I think, they only report on
6 B-cell lymphoma because that's was the only one that
7 they found to be significant, or maybe that was, you
8 know, because they had so few cases. I actually think
9 that Cocco et al. has information on all non-Hodgkin
10 lymphoma, T-cell as well as B-cell. But anyway, I
11 would propose that we use everything that's in Table
12 1, unless someone feel strongly otherwise.

13 **DR. LUOPING ZHANG:** Can you give the
14 number?

15 **DR. LAURA GREEN:** Yes. Of course.

16 **DR. LUOPING ZHANG:** Because otherwise,
17 it's very hard to find.

18 **DR. LAURA GREEN:** Yes, I'm sorry. This
19 is Acquavella et al. (2016) Table 1. There's like,
20 nine rows or so.

21 **DR. LUOPING ZHANG:** No, the file name.
22 File name. EPA-HQ --

23 **DR. LAURA GREEN:** Well, the -- oh, I
24 can't give you the EPA name, but I'll give you the

1 author. It's De Roos et al. (2003). I don't know how
2 else to do it. It's De Roos et al. (2003); Hardell et
3 al. (2002); McDuffie et al. (2001); De Roos et al
4 (2005) of course. Eriksson et al. (2008); Orsi et al.
5 (2009); Hohenadel, I guess that's the way it's said,
6 which is, as you know, an update of McDuffie. And
7 then Cocco et al. (2013.).

8 **DR. EMANUELA TAIOLI:** Sorry. It's
9 critical review and toxicology, the journal?

10 **DR. LAURA GREEN:** Yeah.

11 **DR. EMANUELA TAIOLI:** Okay.

12 **DR. LAURA GREEN:** Their Table 1. I
13 think that's the most complete listing I've seen. EPA
14 excluded Cocco et al. (201) because it was too few
15 cases. They did not use Hohenadel et al., and instead
16 used McDuffie et al. because as I said, I think it's
17 because there were a lot more cases in McDuffie et al.

18 **DR. LUOPING ZHANG:** Right. Could we
19 ask them questions?

20 **DR. JIM MCMANAMAN:** No.

21 **DR. LUOPING ZHANG:** No. Okay. No
22 means no.

23 **DR. JIM MCMANAMAN:** It's a discussion
24 amongst ourselves. And if there are limitations that

1 we need to improve on then we'll do that.

2 DR. LUOPING ZHANG: Okay.

3 DR. KENNETH PORTIER: So Orsi is
4 included in Hodgkin lymphoma, right?

5 DR. LAURA GREEN: Well, no. Orsi --

6 DR. KENNETH PORTIER: If you look in
7 their Table 3.4 it's --

8 DR. LAURA GREEN: Yeah, but Orsi also
9 has information on both NHL and multiple myeloma.

10 DR. KENNETH PORTIER: So you're saying
11 they excluded for NHL, but included it for HL?

12 DR. LAURA GREEN: Why they? EPA they?

13 DR. KENNETH PORTIER: EPA. Yeah.

14 Table 3.4.

15 DR. LAURA GREEN: No, they looked at
16 it.

17 DR. JIM MCMANAMAN: So can we bring
18 this back to the strengths? I mean, what I'm getting
19 at is that there is -- if one of the limitations of
20 the study, or a strength of the study, is the
21 questionability of which studies to include, then I
22 think that we can say that. And we can comment about
23 that in our written comments to say that you agree or
24 disagree with what was included. Okay.

1 But I don't know that we need to try to
2 resurrect the dead here in terms of which ones are
3 going to be included and which ones are not going to
4 be included. At this point, or we're going to be here
5 for the rest of the day.

6 Let's stick to the question about the
7 strengths and the limitations of the available
8 studies. Okay.

9 **DR. KENNETH PORTIER:** The point I was
10 making is that Orsi is, in Table 3.3, considered a
11 moderate-value study. I noticed that they did use it
12 for Hodgkin lymphoma; they didn't use it for non-
13 Hodgkin lymphoma. I didn't see a discussion as to why
14 it wasn't used in non-Hodgkin lymphoma. Is that in
15 the document?

16 I mean, I think that's one of the
17 things I'm trying to get at.

18 **DR. JIM MCMANAMAN:** Yes. Exactly.

19 **DR. KENNETH PORTIER:** If there isn't a
20 justification for why it wasn't used, and it may be
21 that -- I didn't read the article, I'm sorry. I
22 didn't read everything.

23 **UNIDENTIFIED FEMALE:** No. It's not
24 here.

1 I'm looking at the epidemiologist to
2 say was there a justification for not using Orsi in
3 non-Hodgkin lymphoma?

4 **DR. LIANNE SHEPPARD:** No. Orsi was
5 used for non-Hodgkin lymphoma.

6 **DR. JIM MCMANAMAN:** Dr. Sheppard.

7 **DR. LIANNE SHEPPARD:** On page 64 of the
8 of the issue paper in the figure, with all the effect
9 estimates, it's the bottom one.

10 **DR. KENNETH PORTIER:** Okay. Well, then
11 it's missing from Table 3.4.

12 **DR. KENNY CRUMP:** It's on the bottom of
13 page 62.

14 **DR. LAURA GREEN:** Yeah, it's a
15 completely null study. Maybe that's why --

16 **DR. KENNETH PORTIER:** I would suggest
17 you don't split tables like that. I'm sorry. I'm
18 sorry.

19 **DR. LAURA GREEN:** Ken, it's a
20 completely null study, and maybe that's why you don't
21 remember it.

22 **DR. JIM MCMANAMAN:** Okay. Dr. Green.
23 Sorry.

24 **DR. LAURA GREEN:** Sorry.

1 **DR. JIM MCMANAMAN:** In regard to the
2 number of relevant studies that were included, is
3 there still disagreement amongst the panel members
4 about if the appropriate studies have been included or
5 not? And if so, if there is disagreement, then let's
6 state the disagreement at this point. And if not,
7 then we can move on.

8 **DR. LUOPING ZHANG:** Can I say one
9 thing? It looks like maybe a panel member has
10 different opinions about what study should be
11 included. But we could maybe try to look into
12 details, like what Dr. Green mentioned, the paper.
13 Because we haven't even looked at the papers so we
14 don't really know now if we should include it or not.

15 **DR. JIM MCMANAMAN:** Okay. That was Dr.
16 Zhang. Dr. Taioli.

17 **DR. EMANUELA TAIOLI:** From a quick
18 look, at least, since by now I know them by memory,
19 they look like the same papers.

20 **DR. JIM MCMANAMAN:** Okay. It looks
21 like we're including the same papers. Okay. We've
22 taken care of that question.

23 **DR. LIANNE SHEPPARD:** The only question
24 is, I think, is the Cocco paper, and whether it should

1 be included as well. I'm not sure if that was -- I
2 haven't managed to download the Acquavella paper. But
3 it clearly had low weight in the meta-analysis. And
4 EPA made statements that I haven't independently
5 looked at to form my own opinion yet, about whether
6 it's of sufficient quality for this purpose. But I
7 would say there's reason to consider it and it will
8 get low weight, but there is reason to consider it.

9 **DR. JIM MCMANAMAN:** Okay. Well, it
10 looks like there is agreement then, about the papers
11 that were included.

12 Okay. Dr. Zhang.

13 **DR. LUOPING ZHANG:** Okay. My second
14 question for the panel. Among the six studies
15 selected, are the rating of quality scores acceptable
16 or not?

17 So here we have De Roos (2005), the
18 only cohort studies scored high. But as you heard
19 from the discussion from the members, fellow members,
20 the problem was this one control problem, latency
21 issue and other limitations. So again, just to
22 stimulate a discussion, so we should consider? That
23 is one of the high. And Eriksson (2008) is a case-
24 control study, also scored high. I don't know if

1 there is any question, but I just put it on here.
2 Kachuri (2013) scored high, but it is non-Hodgkin
3 lymphoma, so we don't have to discuss.

4 And back to what Dr. Sheppard just
5 mentioned, Cocco (2013), even though scored low -- so
6 basically, what I'm saying is, if some studies,
7 specific studies interested, you know, focused on the
8 non-Hodgkin lymphoma, should we consider or do we have
9 a lead to think to reclassify?

10 **DR. JIM MCMANAMAN:** We're asking about
11 the reordering or whether the rank order of these is
12 appropriate. Okay. Since we're opening it up, I'm
13 hoping that each discussant is going to go through
14 this. We're going to open it up then to the entire
15 panel.

16 Dr. Sheppard has her hand up first.

17 **DR. LIANNE SHEPPARD:** Well, I would
18 definitely -- if we're going to keep the low,
19 moderate, high -- for this purpose I would lower the
20 rating of the Agricultural Health Study to moderate,
21 for the reasons that, I think, have become clear from
22 my numerous comments.

23 **DR. JIM MCMANAMAN:** Okay. Can I have a
24 discussion about that? Is there agreement with Dr.

1 Sheppard's suggestion?

2 DR. LUOPING ZHANG: Whether you want to

3 --

4 DR. JIM MCMANAMAN: To lower it to
5 moderate?

6 DR. LUOPING ZHANG: Lower to moderate.

7 DR. EMANUELA TAIOLI: I'm one of the
8 discussants, so you need to know my score, right?

9 It's not about the discussion. All right.

10 DR. JIM MCMANAMAN: Yes.

11 DR. EMANUELA TAIOLI: I would lower it
12 to moderate.

13 DR. LAURA GREEN: I strongly disagree.
14 This is Dr. Green.

15 DR. JIM MCMANAMAN: Okay.

16 DR. LAURA GREEN: But again, I'm only a
17 toxicologist. But as a toxicologist, let me reiterate
18 why I strongly disagree: a) this is the only
19 prospective study. It's the only one. B) this is not
20 a young cohort. For the umpteenth time, they all got
21 prostate cancer. C or B or three or whatever I'm up
22 to, there are lot of cases of NHL, and three-quarters
23 are exposed to glyphosate; d) seven years later is the
24 follow-up, and some of them were exposed for 10 or 15

1 years before then.

2 While Dr. Sheppard is completely
3 correct, that everyone's usage could've changed over
4 seven years, if you think about it, a guy who started
5 using glyphosate in 1983, and he's interviewed and
6 rolled in 1993, he is cancer free and let's say he's
7 50 years old now. And seven years later, he's 57 and
8 he's got NHL. Did he really change his use of
9 glyphosate that much over those seven years? I don't
10 know. You don't know. But, you know, you do what you
11 can with what you have.

12 It seems to me that if person years is
13 the right denominator, we have 2,000 incident cases of
14 cancer, three quarters of whom are exposed to
15 glyphosate. And we know about their glyphosate
16 exposure seven years prior to the follow-up. So
17 again, it hasn't been that long since they were
18 interviewed.

19 We got a lot of cases, and we have,
20 speaking as a toxicologist, the biggest potential for
21 exposure because these are registered, licensed
22 pesticide applicators, whose job involve spreading
23 this crap around. Sorry, I said it again. Stuff
24 around.

1 I don't see how this can be anything
2 other than a really informative study. It's only
3 seven years' follow-up. I'll give you that. But if a
4 guy has been using glyphosate for 12 years and then
5 seven years later he gets cancer, I think that's
6 informative.

7 **DR. JIM MCMANAMAN:** Yes, Dr. Taioli.

8 **DR. EMANUELA TAIOLI:** The age of the
9 cohort, 25 percent are above the age of 60. I now
10 think that we can even read your statement the other
11 way around. Did these people have cancer at much
12 younger ages than expected, because they are all below
13 60?

14 **DR. LAURA GREEN:** They're all age-
15 adjusted rates. You cannot say that. They're age-
16 adjusted.

17 **DR. EMANUELA TAIOLI:** No, no. Hold on.
18 Not the incidence. But the number of cases occurred
19 at ages that are not the average age that is reported
20 in the registry. I'm not sure that --

21 **DR. LAURA GREEN:** How do you know that?

22 **DR. EMANUELA TAIOLI:** No, I don't know.
23 I'm saying I could read your statement the other way
24 and we don't really know what happened. Because

1 unfortunately, it is not in this paper that we have in
2 front of us now. But I'm not sure that that statement
3 is in support of the importance of the paper.

4 **DR. LAURA GREEN:** But I still don't get
5 why it matters. If it's an age-adjusted rate, and
6 we're looking at the effective glyphosate exposure,
7 and it's age-adjusted, I don't see why it matters
8 whether the guy got it at 57 or 67. What am I
9 missing?

10 **DR. EMANUELA TAIOLI:** So I'm not
11 talking about the non-Hodgkin lymphoma. You were
12 saying that there are a lot of cases of cancer in this
13 cohort.

14 **DR. LAURA GREEN:** Two thousand.

15 **DR. EMANUELA TAIOLI:** Right. For
16 example, there are a lot of prostate cancer. Well, we
17 don't know if there are a lot because 54,000 x 7, I
18 don't know if 2,000 cases are a lot or not.

19 **DR. LAURA GREEN:** There are over 800
20 guys with prostate cancer. There can't be 30-year-
21 olds.

22 **DR. JIM MCMANAMAN:** I think we're
23 talking too much about the details. That was Dr.
24 Green and Dr. Taioli.

1 DR. EMANUELA TAIOLI: Yeah, we don't
2 know.

3 DR. JIM MCMANAMAN: So let's get back
4 to the charge question. And the question was, as I
5 understand the question, this part is, whether the
6 rank order of these studies, whether it's correct.

7 And it sounds like there may be some
8 disagreement about that. And I don't know the degree
9 of the disagreement, but can we say that there is --
10 I'll come to you in just a minute, Dr. Jett.

11 Can we come to some consensus that
12 there is a disagreement about the degree of the rank
13 order?

14 DR. LAURA GREEN: Well, I don't see why
15 it matters because I agree with Professor Sheppard,
16 that we ought to throw all the medium and high studies
17 together anyway. I think what we're having is an
18 academic argument, which we should probably stop
19 having. I mean, all the studies have informative
20 value, consistent with their person years at risk and
21 their confidence intervals.

22 DR. JIM MCMANAMAN: Dr. Jett. That was
23 Dr. Green.

24 DR. DAVID JETT: So I appreciate how

1 you framed this discussion. But where does it, in the
2 question, say we have to comment on this rank?

3 All it says is strengths and
4 limitations.

5 **DR. JIM MCMANAMAN:** I think what Dr.
6 Zhang was trying to get at was that a limitation of
7 this study was the organization of the rank.

8 **DR. LUOPING ZHANG:** Yes.

9 **DR. JIM MCMANAMAN:** And she was asking
10 for our comments on that.

11 **DR. LUOPING ZHANG:** Because we have to
12 discuss this and then we can really give the strengths
13 or --

14 **DR. JIM MCMANAMAN:** I think that --

15 **DR. LUOPING ZHANG:** But could I just
16 make one last comment?

17 **DR. JIM MCMANAMAN:** Sure.

18 **DR. LUOPING ZHANG:** Actually, Dr.
19 Portier, actually, you mentioned -- I actually think,
20 yes, now seems a way, as a panel, we don't really
21 agree with the score of high, medium or low. But I
22 think the key thing is we want to understand each
23 study, the quality of each study. Strength and
24 weakness, but not because we want to eliminate this

1 study. If we don't have that categorization to high,
2 medium, and low, then we don't have this wall or the
3 fighting, basically.

4 **DR. LAURA GREEN:** Well, you remember
5 Dr. Lowit told us that they were told to rank them.
6 Mindful of the fact that they were told to bin things
7 into high, medium and low --

8 **DR. JIM MCMANAMAN:** The question, Dr.
9 Green, is --

10 **DR. LAURA GREEN:** Sorry.

11 **DR. JIM MCMANAMAN:** -- are the
12 available studies to inform the association between
13 glyphosate and NHL. We want to talk about the
14 limitations. And if ranking is a limitation, then we
15 should say that ranking is a limitation, whether Dr.
16 Lowit was told to do it or not. As a panel, we feel
17 that it's a limitation.

18 **DR. LAURA GREEN:** Yeah, that's a good
19 point.

20 **DR. DAVID JETT:** You're talking about
21 the ranking itself?

22 **DR. JIM MCMANAMAN:** No. We're not
23 commenting about the ranking itself, but whether --

24 **DR. DAVID JETT:** (Off mic).

1 DR. JIM MCMANAMAN: Right.

2 Dr. Johnson.

3 DR. ERIC JOHNSON: It will help because
4 I think the most important cite is the non-Hodgkin
5 lymphoma. I think all the other cites --

6 DR. JIM MCMANAMAN: Speak into the mic.

7 DR. ERIC JOHNSON: Sorry. The most
8 important cite is the non-Hodgkin lymphoma. And all
9 the other cites, I think, there is fairly good
10 consensus among panel members.

11 I would suggest that if you could put
12 that data up, the summary of the studies that count.
13 Because I have different studies for non-Hodgkin
14 lymphoma. I have not strong -- I have --

15 DR. JIM MCMANAMAN: But Dr. Johnson,
16 I'm not sure that we really need to discuss the
17 individual studies or to decide on whether we agree
18 with the rankings or not. What we need to do is we
19 need to give the agency a clear statement, an
20 actionable statement about what we think about the
21 limitations of using the ranking, if that's the
22 question.

23 DR. ANNA LOWIT: So this is Anna Lowit.
24 I think we're not answering our question.

1 **DR. JIM MCMANAMAN:** I don't think we
2 are either. I'm trying to get to it, though.

3 **DR. ANNA LOWIT:** Can we get back to our
4 question? Let me maybe restate the question. So,
5 2(a) of this section was about our reviews, and the
6 quality of our assessment, and we're past that. If
7 you have issues with our ranking, I would request that
8 those responses go in 2(a).

9 2(d) is about your view of the
10 strengths and the limitations of the studies, of the
11 De Roos, of the Orsi, whatever the rest of them are,
12 Eriksson. There's been a lot of talk about De Roos,
13 but we haven't really talked at all about Eriksson and
14 McDuffie and Orsi.

15 **DR. JIM MCMANAMAN:** Okay.

16 **DR. LUOPING ZHANG:** Yeah, we're getting
17 there.

18 **DR. ERIC JOHNSON:** Suggestion.

19 **DR. JIM MCMANAMAN:** So wait a minute.
20 Let's try to get this back on track again. What I
21 think we're going to do is go back and ask each panel
22 member to address the questions that Dr. Lowit just
23 put on the record in terms of what is the intent of
24 Question 2(d).

1 So rather than open it up to a free-
2 for-all kind of discussion, which I think has been
3 useful, but it may be getting a little off track,
4 let's go back and we'll just start with Dr. Zhang and
5 ask for your comments about that specific question.

6 **DR. LUOPING ZHANG:** Okay. Actually,
7 that's my next question. You know, we look at the
8 oldest studies the report included, and you know, we
9 know you include there is no association of the
10 glyphosate with non-Hodgkin lymphoma. That's
11 basically your conclusion.

12 When we look at each study -- okay, for
13 example, you just mentioned Eriksson (2008) and
14 McDuffie (2001). Just using those two as an example,
15 these two studies are also the studies, or maybe the
16 only two studies, that show or identify the dose
17 responses. So here is what I think to look at the
18 dose response in the human studies, it's pretty rare.
19 We actually see that dose response.

20 Actually, to me, is striking. So
21 basically, that's why I'm also open to my fellow
22 members and want to invite you guys to discuss the
23 dose response, what do you think about that?

24 Also, positive associations, you know,

1 from let's say De Roos (2003), easily made it the
2 overall odds ratio 2.1, which is statistically
3 significant. I'm just sort of trying to balance. I'm
4 not expressing one way or another if we should accept,
5 but this is the data.

6 So as a panel, here, I mean, among the
7 six studies, some ratio or risk is negative, but there
8 are some positives. And how should we manage that,
9 adjust? That's basically what I have to say. So
10 basically, I'm focusing on the dose response or how
11 should we deal with the positive association and
12 positive risk, the odds ratio?

13 **DR. JIM MCMANAMAN:** Thank you, Dr.
14 Zhang. Dr. Crump.

15 **DR. KENNY CRUMP:** I think I know where
16 we are here. I'm not absolutely sure. We're
17 evaluating the strengths and limitations of the
18 studies; is that what we're doing?

19 **DR. LAURA GREEN:** Correct.

20 **DR. KENNY CRUMP:** Well, I think I agree
21 with the limitations of the De Roos (2005), that have
22 been pointed out. I still have an opinion that is the
23 best study on non-Hodgkin, NHL. But it has a
24 reasonable number of cases, 93. It's not real small.

1 I think the point about the cancer
2 latency is maybe a little bit misleading. I think
3 when these people were enrolled in the study, they had
4 already been exposed for a number of years; I think
5 maybe 12 or so. I'm not sure if that's right, I think
6 that's right.

7 I think the latency is not all that
8 short, it seems to me. I would rate it higher, I
9 think, than any of the studies. Based on what I
10 showed you this morning, I would not rate Eriksson as
11 high because I think there is pretty convincing
12 evidence, to me, that it's probably subject to recall
13 bias.

14 Almost all of the ORs in that study
15 were positive. In their analysis, they took out the
16 exposed people -- exposed to any pesticide not just
17 glyphosate, from the exposures to the unexposed. It
18 took out people who were exposed to any pesticides
19 from the unexposed group; and that will exasperate any
20 effect of recall bias.

21 I would rate the De Roos prospective
22 study above any of the case-control studies for that
23 reason, for similar reasons, then the other studies.

24 **DR. JIM MCMANAMAN:** Dr. Green.

1 **DR. LAURA GREEN:** Thank you. The most
2 informative page of the EPA document is page 64. I
3 don't know how many of you have the EPA draft in front
4 of you, but it's their Figure 3.2, which is called the
5 Forest Plot by the way. Why call it the Forest Plot?
6 Can someone teach me this? It doesn't look like a
7 Forest.

8 I don't know. Whatever. Anyway. Is
9 it named after someone named Forest? I don't know.
10 Anyway.

11 **DR. LUOPING ZHANG:** It is.

12 **DR. LAURA GREEN:** Yeah, it is? Well,
13 that's cool. Like a Western Plot is actually named
14 after someone who's Western. Okay. That's cool.

15 **DR. JIM MCMANAMAN:** That's actually a
16 Southern Plot.

17 **DR. LAURA GREEN:** Southern. Oh, right.
18 And then western is a pun. Right. Thank you. See,
19 I've been out of the lab too long, Dr. Chairman, or I
20 would've remembered that.

21 Okay. Page 64 of the EPA draft
22 document is their Figure 3.2, which is called -- we're
23 now going to call it a Zhang Plot. And like, it's so
24 informative. Frankly, I don't think we need to talk

1 about anything other than this picture. Like, if I'm
2 looking at this picture, right, okay, we got six
3 studies, right. The famous six. We can throw Cocco
4 on here as well, if you wanted, all right, so we can
5 make our own Figure 3.2(a).

6 **DR. LUOPING ZHANG:** You're talking
7 about Table 3.4?

8 **DR. LAURA GREEN:** No. I'm talking
9 about Figure 3.2, on page 64, of the EPA draft
10 document. Everyone with me there?

11 Okay. We got six studies, De Roos et
12 al. (2003) through Orsi et al. (2009), inclusive. I
13 would argue we should put Cocco on there also, but
14 whatever. And we got six point estimates with
15 confidence intervals around them. And correct me if
16 I'm wrong, but every single one of those confidence
17 intervals overlap 1.0, meaning a null association.
18 Now, to Dr. Sheppard's point, all of these are also
19 consistent with positive associations that range from
20 1.7 to 6.2. And they're also consistent with
21 protective effects that range from .5 to .9.

22 In other words, any way you slice it,
23 it looks pretty much like a null result. And I don't
24 care whether De Roos et al. (2005) is in there or out,

1 it doesn't really make much difference. And I don't
2 know how to meta-analyze the way Dr. Infante or others
3 do; but I know how to look at a picture. And unless
4 I'm looking at this picture wrong, there ain't nothing
5 here.

6 **DR. JIM MCMANAMAN:** Do you have an
7 opinion about the strengths or the weaknesses or
8 limitations of these studies?

9 **DR. LAURA GREEN:** Well, again, being
10 just a simple-minded toxicologist, I think the
11 strengths are reflected by the size of the confidence
12 intervals, number one. To a first approximation, the
13 McDuffie et al. result looks pretty precise. It's
14 confidence interval is the narrowest one we got here.
15 It goes from a protective effect, i.e. not .83 to a
16 risk, namely 1.74, and the point estimate is 1.2.

17 On its face, McDuffie et al. is strong
18 study. The strongest we have.

19 However, I'm mindful of what Professor
20 Crump -- Dr. Crump -- semi-retired Dr. Crump, who has
21 done all this work in his semi-retirement. I'm
22 mindful of the fact that McDuffie et al. is one of the
23 three case control studies, or four for that matter,
24 case control studies, which for reasons completely

1 opaque to me, decided to define unexposed people as
2 people unexposed not only to glyphosate, but to every
3 other farm chemical, which is completely meshuggana.
4 I mean, completely and totally meshuggana. Because
5 what you're doing -- I mean, to a lab scientist, you
6 only change one variable at a time. Okay.

7 What these epidemiologists are doing is
8 changing two variables at a time. They're changing
9 both the glyphosate variable, and they're changing the
10 farming variable. To my simple-minded view, I don't
11 see how you could disaggregate the effects of farming
12 from the effects of glyphosate. I mean, it's just
13 weird. Okay.

14 McDuffie et al. is now weak in my mind,
15 because although the confidence interval is nice and
16 tight and I like that, and it doesn't look like the
17 tarp, you know, you put over bases at Fenwick Park, or
18 whatever. It looks like a really precise estimate,
19 but I feel it's a biased estimate, based on what Dr.
20 Crump has found and what I have separately found. And
21 let me say there are two issues. Dr. Crump is
22 focusing on recall bias. I am focusing on something
23 very different from recall bias. I am focusing on the
24 fact that farmers often have lymphoma at excess rates

1 for reasons that probably have nothing to do with
2 chemicals. Let me say it in a slightly different way.

3 If the effects that we were seeing were
4 not for NHL, but let's say colon cancer, okay? If we
5 saw a colon cancer risk estimate of, let's take the
6 biggest one here, 1.85 -- it happens to have the
7 widest confidence interval, right.

8 But if I saw a colon cancer risk
9 estimate of 1.9, which isn't quite statistically
10 significant because blah, blah, blah, I would stand up
11 and notice. Why? Because think about it; we've
12 already learned that glyphosate is not well absorbed,
13 so that means it's in the gut. Okay. We know that
14 gut flora can metabolize glyphosate, admittedly, at a
15 rather low rate. Not to be too gross here, but
16 imagine that you're a constipated person with a lot of
17 glyphosate exposure and the glyphosate is sitting
18 around in your gut for three or four days, and your
19 gut flora are metabolizing it, okay.

20 That starts getting interesting to a
21 toxicologist. And you start saying, huh, well,
22 wouldn't that be interesting if colon cancer were
23 elevated in some of these studies. You might start,
24 you know, kind of having a gestalt, right.

1 Oh, and by the way, I don't think that,
2 since colon cancer is not known to be elevated in
3 farmers, we don't have the farming confounding
4 problem. I have no idea what the recall bias is like.
5 If you're a person who has colon cancer, are you more
6 likely to recall that you were exposed to pesticides?
7 I don't know. And I don't think Kenny knows either.
8 Maybe you do.

9 **DR. KENNY CRUMP:** On a population basis
10 I think you are.

11 **DR. LAURA GREEN:** You are. Okay.

12 **DR. JIM MCMANAMAN:** Okay.

13 **DR. LAURA GREEN:** Kenny thinks recall
14 bias would play a part in any event. All I'm trying
15 to say, I think, is for a whole variety of reasons,
16 all you have to do in my mind, for the strength of the
17 studies, is two things; you look at the width of the
18 confidence interval, right? Because the more narrow
19 it is, the more precise it is and therefore, to a
20 first approximation, the more informative the study
21 is. For example, Hardell et al. has got such a wide
22 confidence interval, it can't possibly be an
23 informative study, right?

24 **DR. JIM MCMANAMAN:** So you will include

1 in your write-up what are the various strengths and
2 weakness of each study?

3 **DR. LAURA GREEN:** I think it's pretty
4 simple. I mean, I'd hate to like, reduce this to such
5 simple terms, but it's the width of the confidence
6 interval and then it's whether you have residual,
7 confounding or bias. I mean, right?

8 **DR. JIM MCMANAMAN:** Okay. Dr. Johnson.

9 **DR. ERIC JOHNSON:** What are we supposed
10 to do because I'm really not quite sure.

11 **DR. JIM MCMANAMAN:** You're supposed to
12 comment about the strengths and limitations of each of
13 the studies related to non-Hodgkin lymphoma.

14 **DR. ERIC JOHNSON:** This is one area
15 that I felt that we should deliberate on more
16 intensely as a group, and unfortunately, we haven't
17 done that. I think we really need to because that's
18 the most important part of the entire -- yes, we all
19 generally agree.

20 **DR. JIM MCMANAMAN:** Do you have a view
21 about the various strengths and weaknesses about these
22 studies?

23 **DR. LAURA GREEN:** So we'll talk about
24 them.

1 **DR. ERIC JOHNSON:** For one thing, this
2 is the only group in which many of the studies have
3 elevated risk of non-Hodgkin lymphoma. And one of
4 them, at least, was significant, clearly statistically
5 significant.

6 **DR. JIM MCMANAMAN:** But the question is
7 about the role of glyphosate in non-Hodgkin lymphoma,
8 the strengths and weaknesses.

9 **DR. ERIC JOHNSON:** Right. That's what
10 I'm saying. The risk for glyphosate were elevated in
11 several of these studies. In one of them, it was
12 statistically significant. I'm not sure whether I may
13 have the wrong studies. That's why I was asking for
14 somebody to put the studies up there because the ones
15 I got from the table, it seems, I have -- I didn't
16 have McDuffie, for example.

17 **DR. JIM MCMANAMAN:** You think that
18 there are some limitations?

19 **DR. LAURA GREEN:** No. He's saying we
20 ought to talk about it.

21 **DR. ERIC JOHNSON:** I think -- yes.

22 **DR. JIM MCMANAMAN:** Well, we're talking
23 about it. I want to know do you have a view about the
24 strengths and limitations?

1 **DR. ERIC JOHNSON:** The limitations, for
2 example, the De Roos study, for example, it's what we
3 call a prevalent cohort. And I think Dr. Taioli
4 appreciates that issue because she also brought it up,
5 that it's a prevalent cohort. It's what you call a
6 cross-sectional cohort.

7 And that cohort is asserted with
8 certain biases. Unfortunately, it's difficult to
9 predict the direction. And with that bias, all you
10 can say is that if I observe an effect, that effect
11 must be there. But if I don't observe an effect, it
12 doesn't mean there is no effect.

13 That bothers me about that study that
14 as a cross-sectional study. Also, the people with
15 cancers, prior to the start of enrollment, were
16 excluded. That's another source of bias in that
17 cohort. Those are the little things I want, also as a
18 group, to look at each study in detail and look at the
19 pros and cons for each one of them. Because I think I
20 saw more elevated risk in this group than in any of
21 the other group.

22 **DR. JIM MCMANAMAN:** Okay. Well, then
23 you can include that in your write-up about what the
24 limitations are for each of the studies. Okay.

1 Dr. Sheppard is next.

2 **DR. LIANNE SHEPPARD:** Okay. With
3 respect to the question about the strengths and
4 limitations of them informing the association, and the
5 agency's conclusion. First of all, I think the
6 agency's conclusion is seriously flawed and needs to
7 be strongly revised.

8 I think it's appropriate to say that
9 all the selected studies inform the association, and
10 that there are problems with interpretation with all
11 of them. Each of them separately, is consistent with
12 no effect for never use of glyphosate. Although, I
13 would say that that's not completely true because I
14 disagree with the effect estimate that was put in
15 Figure 3.2 for the De Roos et al. (2003) study.

16 I want to go on record saying that the
17 hierarchal regression analysis, while a very
18 interesting and informative analysis in its own right,
19 basically, is shrinking all of the estimates in that
20 study towards the null because that's where all the
21 estimates were in the study overall. And it's
22 therefore not really appropriate to compare that with
23 the other study estimates, because it's got like this
24 other extra thing going on.

1 There's a standard logistic regression
2 estimate in De Roos et al. (2003) that is adjusted for
3 pesticides that's much more appropriate to use in a
4 meta-regression, than the hierarchical estimate, just
5 from the point of view of having things that are more
6 comparable with each other in a meta-regression.

7 Now one can always argue about the
8 value of doing a meta-regression. I think it's more
9 informative than using each study alone because you
10 basically leverage the power of all the studies. And
11 they're potentially all flawed, but you get a more
12 confident estimate of something that's flawed. And
13 that, of course, is why people can argue till the cows
14 come home about whether you should do it because of
15 that.

16 **DR. LAURA GREEN:** Sorry, Lianne. Which
17 number -- so you don't like the 1.6. What number do
18 you like?

19 **DR. LIANNE SHEPPARD:** Yeah. I don't
20 have it in front of me. I think it's 2.1.

21 **DR. LAURA GREEN:** And what's the
22 confidence interval?

23 **DR. LUOPING ZHANG:** 1.1 and 4.

24 **DR. LIANNE SHEPPARD:** 1.1 to 4.

1 DR. LAURA GREEN: Can I ask you about
2 that?

3 DR. JIM MCMANAMAN: No. Let's let her
4 finish her comment.

5 DR. LAURA GREEN: Oh, I'm sorry.
6 Sorry.

7 DR. JIM MCMANAMAN: Dr. Green. Okay.

8 DR. LIANNE SHEPPARD: I've already gone
9 on at some length with the challenges of the
10 interpretation of Agricultural Health Study, as in the
11 De Roos et al. (2005) paper. As outstanding study as
12 it is, and as valuable as a cohort study is, I think
13 if you address the fit-for-purpose aspect of this, and
14 the reason that I've already outlined, I do not
15 consider the study to have any more weight than the
16 also flawed, and challenged, case-control studies.

17 I do need to fully understand, which I
18 didn't have time to do in the few minutes before
19 lunch, Dr. Crump's analysis of the recall bias to
20 understand exactly what was done and see whether I
21 agree with that or not. And I'm looking forward to,
22 as I've already requested, a printed copy of your
23 slides so they can spend a little time doing that.

24 The understanding about the empirical

1 induction period, or latency period, I think is
2 important and we got evidence from the Eriksson study
3 that I think gives valuable insights. I think that
4 I'm more willing to -- well, I feel like there's
5 insight there, sort of distinct from other issues,
6 with respect to bias in that latency analysis. And I
7 also think that Hardell, while it talks only about --
8 to all herbicides is also informative for that, and
9 suggest that induction or latency period over 10 years
10 is most important.

11 I've talked about the timing of the
12 glyphosate registration and use patterns. And while
13 we don't know a lot about that, I think that's an
14 important element to pay attention to with respect to
15 the interpretation of the results. I think that the
16 EPA's evidence assessment is highly imbalanced. It's
17 down-weighting statistical findings and up-weighting
18 non-statistical criteria, which I believe is
19 inappropriate.

20 The non-Hodgkin lymphoma results, as I
21 brought out earlier when we were talking with EPA,
22 this post hoc dividing the results into bins and then
23 saying, oh, it can't be right, is not an appropriate
24 way to do that. The meta-risk estimate is the best

1 summary estimate from these studies. And it doesn't
2 matter who does it, they all come out more or less the
3 same. They all tell us more or less the same answers.

4 The findings are not contradictory.
5 They're all separately weak, but together, there are
6 six studies that all tell you more or less the same
7 thing. And I think these results are suggestive of
8 carcinogenic potential, in and of their own right.

9 Are there flaws? Are there concerns?
10 Absolutely. But they are suggestive.

11 **DR. JIM MCMANAMAN:** Thank you Dr.
12 Sheppard. Dr. Taioli, you're next.

13 **DR. EMANUELA TAIOLI:** Okay. Aside from
14 the impression of the pictures, I'll tell you what my
15 train of thought is. I think that because of the
16 limitations, of both the case-control studies and the
17 cohort study, that we went through at length, I value
18 these studies at the same level, which is moderately
19 informative.

20 In terms of the way to interpret them,
21 this is a real classical 101 case, epidemiology 101,
22 that the meta-analysis is very informative. Because
23 each study is small and can be almost there and
24 telling us something, but not enough.

1 I think the meta-analysis is
2 appropriate. I think there have been three meta-
3 analyses published, and they all came up with more or
4 less the same results; considering that I agree with
5 Lianne, that the first (inaudible) model should be
6 substituted with the value of the multivariate model,
7 which is more appropriate to compare with the other
8 numbers.

9 Having looked at the three meta-
10 analyses, there is no heterogeneity. There is no I^2
11 (square) value that has any meaning. That means all
12 the studies are all very similar with each other.
13 They may not be perfect, but very similar in their
14 results. That's an indication that those results of
15 the summary estimates are pretty accurate.

16 My suggestion is, and I would actually
17 like to add this as one of the points, to have an
18 extra table, because there is no meta-analysis
19 estimated in this document. With the various meta-
20 estimate and the sensitivity analysis, in which the
21 cohort study has been taken out, the other studies
22 have been classified according to criteria that we're
23 not going through here; but they are in the papers.

24 And they all come up consistently with

1 odds ratio that are between 1.3 and 1.5, and all with
2 confidence intervals that are 1 or more. The
3 indications of the epidemiological studies are very
4 consistent, and they suggest that it is an
5 association. That's my point.

6 **DR. JIM MCMANAMAN:** Okay. Thank you,
7 Dr. Taioli. We are running really behind time here.
8 I'm going to open it up to the panel, but we have to
9 be brief and to the point about this charge question.
10 We'll start with Dr. Green, and someone else let me
11 know if you have a comment.

12 **DR. LAURA GREEN:** All right. I'm going
13 to try to be really succinct. I could not disagree
14 more. I continue to fail to understand why the
15 prospective study is not at least as informative, if
16 not more informative, given that we do not have the
17 bias of a retrospective design.

18 I mean, I don't get it. That's just
19 number one. Maybe it's my stupidity. I do not get
20 it. It is a study with a lot of person years, a lot
21 of cases, and no recall bias possible because it's
22 prospective, number one.

23 Number two, I wonder, since you all
24 know AnneClaire De Roos, why not someone asked her

1 about those 1,074 cases of cancer that weren't looked
2 at. I mean, obviously, she was trying to do a
3 prospective study, but she found in 1993, that 1,074
4 of those guys already had cancer. So why can't we use
5 that data?

6 I mean, forget about the power of the
7 study; let's talk about the power of her database.
8 She's got another 1,074 cases of cancer. Okay? I'd
9 like somebody, whether it's the agency or you all who
10 are colleagues, and in the field, to say hey,
11 Professor De Roos, what about those 1,074 cases?

12 I think there's a lot of information
13 here that we could potentially learn something from.
14 And obviously, again, she wanted to do a prospective
15 study and so she didn't include them. But my God,
16 that's a lot of data. That'd be my first point.

17 My second point is, I'm sorry, but odds
18 ratios less than 2.0, for something like NHL in
19 farmers, are just not credible. Of course, the three
20 meta-analyses come up with the same answer, or the
21 four or the five. They're all using the same data. I
22 mean, duh. Okay. Everyone is going to get the same
23 answer. But not to be crude about it, but garbage in,
24 garbage out.

1 If you have biased studies, which by
2 their very design cannot distinguish between the
3 effects of farming on lymphoma and the effects of
4 glyphosate on lymphoma, then you don't have squat.
5 Okay. And if Kenny is right that Aaron Blair was
6 wrong, to discount whether it's recall bias or the
7 effect of farming -- he and I disagree about that --
8 but something is going on. Okay.

9 I repeat, if this was colon cancer or
10 brain cancer or anything else that's not associated
11 with farming, I would be with you. In fact, I'd be
12 ahead of you. I'd be calling this an established
13 human carcinogen. All right. I'm pretty easy. I
14 vote Democratic. All right.

15 But we're talking about NHL. All
16 right. The sixth most prevalent cancer in America, a
17 cancer that's been associated with farming since
18 before you and I were born -- well, I, anyway. I
19 don't know how old you are. But I was born in 1954,
20 and it was already known in 1954, that farmers get NHL
21 at excess rates, 20 years before glyphosate was even
22 patented or whatever.

23 I'm sorry, but for lymphoma, for odds
24 ratios below 2 -- I mean, let's use the

1 counterexample, dioxin --

2 DR. JIM MCMANAMAN: I think we've gone
3 over this. I think that there's a disagreement, and
4 that's fine. We will include that in our write-up.

5 Anyone else? Dr. Portier? Ken
6 Portier.

7 DR. KENNETH PORTIER: Getting back to
8 the question.

9 DR. JIM MCMANAMAN: Yes.

10 DR. KENNETH PORTIER: I looked through
11 the EPA discussion, on the strengths and weaknesses of
12 each of the studies, and compared them with what I can
13 learn from Tables 3.2 and 3.4. And I think there's a
14 couple of things missing that would help the reader be
15 able to draw some of these conclusions for themselves.
16 In some of the cases you tell us how many cases and
17 controls were identified, having glyphosate exposure.
18 I think you do that for all of them, probably in Table
19 3.4 somewhere.

20 It would be nice to know, if you can
21 figure this out, how many parameters were estimated in
22 the adjusted models, because most of the cases of the
23 six studies that we looked at, well, five of the six
24 studies, they used an adjusted model. And some of

1 them have very low numbers of cases and controls. For
2 example, Hardell, eight cases, eight controls; and
3 they did a multivariate model. They could've used up
4 all the degrees of freedom, in that kind of
5 comparison, that would help me understand the strength
6 of the analysis that was done on that model.

7 I think, focusing on adding some
8 information on cases, controls, sample sizes into
9 Table 3.4, and telling us something about model size,
10 would tell us something about the strengths and
11 weaknesses beyond what I can just gather from what
12 you're saying.

13 I don't think we have a lot to add on
14 strengths and weaknesses over and above what you have,
15 other than that.

16 **DR. JIM MCMANAMAN:** Okay. Dr. Zhang.

17 **DR. LUOPING ZHANG:** Okay. I should
18 also look at what Dr. Green mentioned in that figure.
19 I believe when you give the presentation you'll show
20 that Figure 2, at least of the six studies, about the
21 confidence interval.

22 I think, if I may, I think it's also
23 good to have the meta from the meta risk into the same
24 table. If you see each one, just like each one is

1 weak, like what Dr. Sheppard was saying, about to put
2 it together. We could have a comparison with each
3 individual study and compare with the meta risk. If
4 you can list it on the same figure, could maybe help
5 this one.

6 Two, Dr. Green's idea of garbage in,
7 garbage out. My understanding is the three meta-
8 analysis actually, they're using the same stuff, but
9 not analysis is exactly the same, each one. In this
10 way actually, I agree, sort of support Dr. Taioli's
11 suggestion to make a table. Make the table very
12 clear. Here is meta-analysis number one and what's
13 the study?

14 You can click. Okay. This meta-
15 analysis is based on this assumption because each
16 meta-analysis, the assumption is different, then we
17 choose this field and just start with this and that's
18 the result. And that one was different. That's maybe
19 much more transparent than clear.

20 You only mention three meta-analysis.
21 Here is a meta risk from 1.3 to 1. whatever it is,
22 but, you know, we should make a table to make it very
23 clear. I think you may have misunderstood what Dr.
24 Taioli said, but correct me if I'm wrong, what she was

1 saying is that there are many different ways to meta-
2 analysis.

3 For example, let's say we're only
4 focused on recall bias, case-control study. Okay. In
5 that case, we could exclude the De Roos (2005). It's
6 not because we have to exclude. As we said, we're
7 only focused on case-control study. We just make
8 meta-analysis on that one; let's look at what it is.
9 I think that's maybe a fair way to look at the data in
10 multiple ways, and see what we learned from this.

11 I don't think we should look at it, you
12 know, too --

13 **DR. EMANUELA TAIOLI:** But I meant not
14 to exclude the De Roos. But some of the sensitivity
15 analysis do only case controls, and therefore you take
16 out the cohort, and so on. And the table should have
17 all the various assumptions and the various
18 stratification that have been done, and can be
19 repeated. Now, I didn't mean to exclude. I said at
20 the beginning, to me, they had the same value.

21 **DR. LAURA GREEN:** No, I agree. And I
22 think that would be very helpful.

23 **DR. JIM MCMANAMAN:** Okay. That's Dr.
24 Taioli and Dr. Green. All right. David. Dr. Jett.

1 DR. DAVID JETT: This is interesting,
2 this discussion about the farmers. Aren't the
3 controls farmers in these studies?

4 DR. LAURA GREEN: No.

5 DR. DAVID JETT: Oh, they're not?

6 DR. LAURA GREEN: Well, all right.
7 Okay. These are case control -- it's complicated and
8 I'm going to screw it up, so everyone listen and
9 correct me.

10 These are case-control studies. For
11 Eriksson, Hardell, and I'm forgetting the third one.

12 DR. KENNETH PORTIER: McDuffie.

13 DR. LAURA GREEN: McDuffie and Cocco.
14 Okay. In four of the case-control studies -- you know
15 what a 2-by-2 table is, right?

16 Okay. Again, it's my stupid, reductive
17 way. A proper 2-by-2 table, okay, the top row is
18 glyphosate users. And in the columns of cases and
19 control. The bottom row, to my simplistic mind, ought
20 to be glyphosate nonusers' cases and controls. Right.
21 That's the right way to set up a 2-by-2 table for case
22 control studies. However, what Cocco, Eriksson and
23 the others did, was the following 2-by-2 table, which
24 is wrong, I believe. The top row is the same. Okay.

1 Glyphosate users, and then the first
2 column is cases and the second column is controls.
3 But the bottom row is glyphosate nonusers, 24D
4 nonusers. You know, all herbicides, all pesticide,
5 all fungicide, and all rodenticide nonusers. In other
6 words, non-farmers. Okay.

7 What they're doing is changing two
8 variables at once. They're comparing glyphosate users
9 who are farmers with non-glyphosate, non-herbicide,
10 essentially, nonfarmers. And because of that, to my
11 mind, one cannot disaggregate the possible
12 carcinogenic effects of glyphosate from the possible
13 carcinogenic effects of everything else associated
14 with farming. Right?

15 **DR. JIM MCMANAMAN:** That was pretty
16 succinct. Can we make sure you include that in your
17 write-up?

18 **DR. LAURA GREEN:** Yeah. I assume our
19 transcriber here has got that all down.

20 **DR. JIM MCMANAMAN:** No, no. It's
21 important to have that. And Dr. Zhang, if you can do
22 what you just suggested, is it possible to include
23 that in your --

24 **DR. LUOPING ZHANG:** Including a table.

1 DR. JIM MCMANAMAN: Right. Okay.

2 DR. LUOPING ZHANG: I forgot one more
3 point. Can I say it?

4 DR. JIM MCMANAMAN: Okay.

5 DR. LUOPING ZHANG: Back to that same
6 figure, because that six study, the risk you listed is
7 only ever level effects, right. Estimate. But the
8 study -- actually, they have a different way to
9 calculate the risk. That's not actually included in
10 there. I just want to point that out.

11 DR. JIM MCMANAMAN: All right. Dr.
12 Portier.

13 DR. KENNETH PORTIER: This is a very
14 minor thing. When I found the end of Table 3.4,
15 there's a B footnote about the De Roos study that
16 really should be in the risk and other bias column on
17 Table 3.2. That footnote is kind of in there, but it
18 really does affect potential biases and your
19 understanding of the bias. If they're going to
20 exclude people from the analysis, that's a major thing
21 that you need to know about.

22 DR. LUOPING ZHANG: Do you mean De Roos
23 (2005)?

24 DR. KENNETH PORTIER: De Roos '05. The

1 De Roos '05 study.

2 DR. LUOPING ZHANG: Yeah, to make it
3 clear.

4 DR. KENNETH PORTIER: It doesn't -- it
5 needs to come out of the footnote and into Table 3.2,
6 because I think it's important.

7 DR. JIM MCMANAMAN: Okay. Thank you,
8 Dr. Portier. Okay. I think that we've beat this
9 horse to death.

10 DR. LUOPING ZHANG: No, we have more
11 issues.

12 DR. JIM MCMANAMAN: Seriously, we have
13 to move on. Unless Dr. Zeltermann has something to
14 say, because he's usually pretty succinct.

15 DR. DANIEL ZELTERMAN: No.

16 DR. JIM MCMANAMAN: All right. We'll
17 go back to the Agency. Do you need clarification?

18 DR. LUOPING ZHANG: We haven't finished
19 yet. I'm going to be the one to be charged in writing
20 this.

21 DR. JIM MCMANAMAN: Well, I thought you
22 were finished.

23 DR. LUOPING ZHANG: No. No, I'm not
24 done yet.

1 DR. JIM MCMANAMAN: Okay. It's only on
2 Question No. 3. Because I haven't even heard --

3 DR. JIM MCMANAMAN: We're going to have
4 a break here as soon as we finish.

5 DR. LUOPING ZHANG: Okay. How about we
6 have a break.

7 DR. JIM MCMANAMAN: We need to finish
8 this.

9 DR. LUOPING ZHANG: Did I miss
10 something? I'd like to hear the members about -- what
11 do you think?

12 Personally, I think Eriksson (2008),
13 McDuffie (2001), the dose response data, that's a
14 strength. But I haven't heard anybody comment on
15 that. Is that a strength or is that a weakness?

16 DR. JIM MCMANAMAN: I think we've heard
17 various strengths and weaknesses about those.

18 DR. LUOPING ZHANG: Okay.

19 DR. LIANNE SHEPPARD: I agree, it's a
20 strength.

21 DR. LAURA GREEN: No. Actually, we
22 didn't answer her.

23 DR. LUOPING ZHANG: I know. That's
24 what I needed to know, you know.

1 **DR. LAURA GREEN:** I just want to say it
2 would be a strength if they were real, but I don't
3 believe it.

4 **DR. LUOPING ZHANG:** See, I needed to
5 know, right?

6 **DR. JIM MCMANAMAN:** Anybody else have a
7 comment about the strengths and weaknesses?

8 **DR. ERIC JOHNSON:** I'm trying to
9 determine which of the studies we are talking about.
10 And it seems to me, the first studies in which -- when
11 you adjust for confounders, those alterations are
12 relative -- became nonsignificant, four of them. And
13 only two studies we had a significant result. One was
14 the Eriksson study, which did not control for multiple
15 pesticides. And the other was the De Roos (2003),
16 which did the best job controlling for other
17 pesticides. To me, that's where the sticker is. How
18 do we interpret this data? And it's for these two
19 studies, to me, that we need to focus and look at what
20 do these studies mean?

21 **DR. JIM MCMANAMAN:** Okay. Well, we
22 have a disagreement about that amongst panel members,
23 and I don't know that we're going to resolve those
24 disagreements today. I mean, it's been stated pretty

1 clearly, the pros and cons, from each camp, related to
2 the De Roos publication. I think there's no point in
3 belaboring this much more. And so again, I think we
4 can go back to the Agency and ask do you need
5 additional clarification?

6 **DR. LAURA GREEN:** I'm sorry. Before --

7 **DR. LUOPING ZHANG:** I -- okay. Dr.
8 Green, you go ahead.

9 **DR. LAURA GREEN:** Yeah. I think you
10 didn't quite understand what Dr. Johnson was saying.
11 There are two De Roos et al. papers. He was speaking
12 of De Roos et al. (2003), not De Roos et al. (2005).
13 Unless I'm wrong, that's precisely the one in which
14 Professor Sheppard said, if you use the hierarchical
15 thing, which I don't understand to save my life, you
16 get a significant odds ratio.

17 **DR. ERIC JOHNSON:** No. Wait, wait,
18 wait.

19 **DR. LAURA GREEN:** Oh, sorry. Well,
20 whatever. Whatever one you like is significant. And
21 what Dr. Johnson -- so it's De Roos et al. (2003), not
22 De Roos et al. (2005). And I don't think we have a
23 disagreement, necessarily, about De Roos, et al.
24 (2003), because I don't think we've talked about De

1 Roos et al. (2003), unless I missed it. But I really
2 have to go to the bathroom, so I am doing that right
3 now.

4 **DR. LUOPING ZHANG:** But the 2003 data
5 estimated overall -- yeah, that's --

6 **DR. JIM MCMANAMAN:** Dr. Zhang?

7 **DR. LUOPING ZHANG:** It looks like we've
8 run out of time, but I want to put this whole thing
9 into this 2(d) section.

10 What I just want to mention here is
11 about statistical significance of the (inaudible), if
12 it's acceptable, not adjusted, adjusted, the pairwise
13 comparison where there is a trend test. You know,
14 that whole thing I'm just putting on here. We can
15 maybe have a separate group to have a discussion about
16 that. And the latency issue was mentioned earlier,
17 but I think here, for non-Hodgkin lymphoma, is
18 important.

19 And we should also, at least, encourage
20 my team for 2(d) to remember to consider -- you know,
21 to get that. And the end, but definitely on the list,
22 is how to manage or assess the bias, especially the
23 recall bias like what, you know. This is all a very
24 important issue for non-Hodgkin lymphoma. I just

1 wanted to put that in before you go over to the
2 Agency.

3 **DR. JIM MCMANAMAN:** All right. Thank
4 you, Dr. Zhang. Okay. Back to the agency.

5 **DR. MONIQUE PERRON:** As clear as mud.

6 **DR. JIM MCMANAMAN:** You think?

7 **DR. ANNA LOWIT:** Something like that.
8 But it feels like we've had the same conversation for
9 a bit, and maybe it's time to move on to the animal
10 questions.

11 **DR. JIM MCMANAMAN:** Okay. Even if
12 there could be a clarification, there's none needed.

13 **DR. ANNA LOWIT:** I'm not even sure what
14 we would ask.

15 **DR. JIM MCMANAMAN:** Okay. All right.
16 At this point, I think we should have a break for 15
17 minutes. So be back here at roughly 4:30 and then
18 we'll move on to the animal question.

19

20 **[WHEREAS A BREAK WAS TAKEN]**

21

22 **DR. JIM MCMANAMAN:** All right. Is the
23 panel present and ready to go, somewhat?

24 We're now on Charge Question 3.

1 **DR. LIANNE SHEPPARD:** Might I interrupt
2 for one second, before we move on?

3 **DR. JIM MCMANAMAN:** Okay.

4 **DR. LIANNE SHEPPARD:** I just want to
5 ask that we allow a little bit of time, at the end
6 tomorrow, to circle back, to make sure that anything
7 that occurs to us, that's really important, to get in
8 the public record. There's not something about
9 Question 2 that we might've overlooked, to make sure
10 we get it in the public record.

11 **DR. JIM MCMANAMAN:** Well, okay. I
12 don't think we can circle around again. I'm not sure.
13 We can have final comments. We'll have a time for
14 final comments about that. Dr. Portier?

15 **DR. KENNETH PORTIER:** I think one of
16 the issues is that EPA didn't ask a question about the
17 meta-analysis. And I think we'd like to talk about
18 the meta-analysis. I would like to talk about the
19 meta-analysis.

20 **DR. LUOPING ZHANG:** Thank you, Dr.
21 Portier. It's a very important issue.

22 **DR. KENNETH PORTIER:** So they've asked
23 us about the strengths and weaknesses of the
24 individual studies, but --

1 **DR. JIM MCMANAMAN:** Is the fact that
2 meta-analysis wasn't mentioned, is that a weakness?

3 **DR. KENNETH PORTIER:** Well, that's a
4 weakness in the questions they didn't ask --

5 **DR. JIM MCMANAMAN:** Is that a weakness?

6 **DR. LUOPING ZHANG:** Maybe it's a
7 strength for the analysis. But I totally agree. By
8 the way, if we refer back to Charge Question 2(d) or
9 Charge Question 2 in general?

10 **DR. KENNETH PORTIER:** Well, 2(d),
11 though, didn't ask us about the individual studies.
12 It didn't ask about the meta-analysis. And maybe EPA
13 doesn't want us to comment. I mean, I'll be honest,
14 if you don't, that's fine.

15 **DR. JIM MCMANAMAN:** Well, it seems to
16 me that the question of whether the meta-analysis is
17 included or not included, it comes to the question of
18 strength or weaknesses of the studies. Maybe they
19 should've done a meta-analysis. Well, I guess each
20 study wouldn't have been able to do a meta-analysis,
21 because you'd have to have all of them, right?

22 I'm okay with discussing that if that
23 is not violating some sort of --

24 **DR. ANNA LOWIT:** Well, it seems that

1 some commenters have already discussed it at length,
2 so if there is another opinion, I think that needs to
3 be brought into play with the others. It's certainly
4 not counter to the question but --

5 **DR. JIM MCMANAMAN:** Okay.

6 **MS. DANA VOGEL:** -- I think we're all
7 getting a little bit concerned that it's now 4:30.

8 **DR. JIM MCMANAMAN:** Right. I think it
9 would be okay asking this. If you have views about
10 whether a meta-analysis should've been included or
11 excluded, or can we include a meta-analysis of the
12 data on our own and provide that to the agency --

13 **DR. LAURA GREEN:** (Off mic).

14 **DR. KENNETH PORTIER:** No. I'm
15 volunteering about the discussion of the strengths and
16 weaknesses of the meta-analyses that are in the
17 report. I mean, I think that's -- part of the key
18 question around the human data for NHL, is the one
19 meta-analysis that IARC did, compared to the others
20 and what does that really tell us. And I think it's
21 important that EPA hears this panel, at least, talk a
22 little bit about that. But I'm willing to put that to
23 the end, as Dr. Zhang said. If we run out of time,
24 we'll write and put our comments in.

1 DR. JIM MCMANAMAN: Okay.

2 DR. KENNETH PORTIER: I just wanted it
3 on the table that this is an issue that I think we've
4 missed.

5 DR. JIM MCMANAMAN: Okay.

6 DR. LAURA GREEN: Can I suggest it
7 could come up in Question 5? Because it's sort of a
8 weight of evidence thing, right?

9 DR. JIM MCMANAMAN: Right.

10 DR. LAURA GREEN: So we can actually
11 address then, right?

12 DR. JIM MCMANAMAN: Okay.

13 DR. KENNETH PORTIER: And since I lead
14 Question 5, I'll remember to bring that back up again.

15 DR. JIM MCMANAMAN: Okay. That was Dr.
16 Green and Dr. Portier and Dr. McManaman who saying
17 okay. We'll move on. All right. Charge Question 3.

18 DR. ANWAR DUNBAR: This is Dr. Anwar
19 Dunbar and I'm reading Charge Question No. 3.

20 The Agency has followed the 2005 EPA
21 Guidelines for Carcinogen Risk Assessment to evaluate
22 laboratory animal carcinogenicity studies for
23 glyphosate. As described in Sections 4.5 and 4.6, a
24 total of nine acceptable rat and six acceptable mouse

1 carcinogenicity studies were evaluated and considered
2 in the weight-of-evidence analysis.

3 Consistent with the 2005 Guidelines,
4 this analysis took into consideration statistical
5 evidence of a dose-response, the occurrence of
6 corroborating pre-neoplastic lesions or related non-
7 neoplastic lesions to support tumor findings, evidence
8 of progression to malignancy, concurrent and
9 historical control information, and statistical and
10 biological significance of increased tumor incidence,
11 as well as reproducibility of tumor findings.

12 Question 3(a) states, please comment on
13 the agency's review and evaluation process of the
14 relevant laboratory animal carcinogenicity studies to
15 inform the human carcinogenic potential of glyphosate.

16 **DR. JIM MCMANAMAN:** Thank you, Dr.
17 Dunbar. The discussants on this are Dr. Ramesh, lead
18 discussant. Dr. Ehrich, Dr. Green, Dr. McManaman, Dr.
19 Parsons, and Dr. Sobrian.

20 Dr. Ramesh.

21 **DR. ARAMANDLA RAMESH:** Good afternoon,
22 Dr. McManaman and fellow panel members. In response
23 to this charge question, the Agency has done an
24 excellent job of compiling information, and also

1 providing the necessary background material, including
2 the proprietary information provided by the
3 registrants to allow us to assess the findings in an
4 impartial manner.

5 To that extent, the agency's review and
6 evaluation process followed the 2005 EPA Guidelines
7 for Carcinogen Risk Assessment, in regard to
8 laboratory animals, the potential for glyphosates to
9 cause tumors in these animals. From a broad
10 perspective, the Agency used a criterion that
11 emphasized the weight-of-evidence aspect to review
12 animal carcinogenicity. The White Paper, Glyphosate
13 Evaluation of Carcinogenic Potential needs to be
14 revised, weighing to the following shortcomings in
15 their adopted approaches:

16 1) the EPA arrives at the conclusion
17 that the multiple positive tumor responses were not
18 treatment related. At the same time, the agency fails
19 to indicate what constitutes a positive finding or
20 whether these are of any chance occurrence or not.
21 And it appears that the Agency may have filtered some
22 studies. If the study's statistically significant
23 trend observed is not a monotonic response, the agency
24 seems to have dismissed those studies. And especially

1 the statistically significant Cochran-Armitage trend
2 test and the unadjusted pairwise comparisons. They
3 should be considered as treatment related.

4 And also, the significant tumor
5 incidences as reported, they were not reproducible in
6 most cases, from the literature, and hence, they were
7 thrown out. I can understand that these studies did
8 not fulfill the Bradford Hill criteria. While this is
9 justifiable from a regulatory standpoint, the Agency
10 should, at least, acknowledge that the bioassays
11 reported, they were done over a span of 40 years in
12 different labs, using different strains. And hence,
13 it is very difficult to replicate those studies to
14 some extent of precision. I want them to acknowledge
15 that in the report.

16 And again, some bioassays comparison
17 was from different durations. For example, EPA used
18 the historical controls from a 24-month-old study to
19 compare a glyphosate treatment-related study that
20 lasted only for 18 months. Also, if somebody with
21 treatment duration tumor incidence, types of tumor,
22 assessed by histopathology, needs to be included in
23 the form of a table, in the revised White Paper.

24 And the reduction in weight gain in

1 glyphosate-treated animals, that are related to
2 controls, observed in initial phase of the studies
3 need to be explained for each dose. Was the reduction
4 in tumor instance at high-dose? Could it be due to
5 saturation of metabolic (inaudible), leading to
6 excretion of administered glyphosate? And these
7 aspects, like changing weight gain, (inaudible),
8 reduced tumor instances, that needs to be discussed in
9 the White Paper.

10 While glyphosate, per se, may not
11 reduce tumors on its own, like any chemical, it is
12 highly likely it contributes to either promotion or
13 progression of spontaneously-occurring tumors. The
14 background noise that we have seen in control rats,
15 could be attributed to a species of strength-specific
16 genetic differences.

17 But Wahl et al., from my evaluation of
18 the literature provided by EPA, and the White Paper,
19 the carcinogenicity profile fits into the category of
20 grouping glyphosate under the weak, non-genotoxic
21 carcinogen category. And I've received significant
22 input from my fellow panel members, Dr. Barbara
23 Parsons and Dr. Marion Ehrich. And I also had a few
24 discussions with Dr. Sonya Sobrian. And hopefully,

1 I'd like to put final touches before it is shared with
2 the Agency.

3 Now I leave it to other panel members.

4 **DR. JIM MCMANAMAN:** Thank you, Dr.

5 Ramesh. Dr. Green.

6 **DR. LAURA GREEN:** No one will be
7 surprised to know I disagree. I'll try to be brief.
8 I think the Agency got it right for the wrong reasons.
9 I'm disappointed that the agency's write-up looks --
10 not is -- but looks biased. I vastly prefer the
11 analysis that the German fella presented. I'm sorry,
12 I don't remember his name, and I don't know if he's
13 still here. But let me tell you why.

14 First, I've already chewed you out on
15 the first day for being very schizophrenic about your
16 so-called limit dose. I mean, your carcinogen
17 guidelines, not to mention good laboratory practice
18 for chronic bioassays, is pretty clear. You're
19 supposed to stress the animals to the max. Because
20 you only have 50 animals per sex, per group, and you
21 want to get as high as you can without really making
22 them, frankly, sick. And because glyphosate is so
23 non-toxic, doses of 1 gram per kilo are not maximally
24 tolerated doses. They just aren't. You know that

1 from your own data. You can give these animals three,
2 four grams per kilo for life and they are still okay.
3 It's not right to change the rules. You are basically
4 changing the rules.

5 You are saying for glyphosate, I'm
6 going to make this artificial limit dose of 1 gram per
7 kilo, despite the fact that it's not a maximally-
8 tolerated dose. I mean, I'm sorry, you just can't do
9 that, in my book. So, that's wrong.

10 And I don't know where this 1 gram per
11 kilo limit dose came from. The way I read the
12 carcinogen assessment guidelines -- admittedly, you
13 all know much better than I do -- but the way I read
14 them, it says you don't have to exceed 1 gram per kilo
15 unless there are good reasons. Well, in my mind there
16 are good reasons. That's the first issue.

17 I don't think that it's right to
18 necessarily discount responses that you see at 1 gram
19 per kilo or even 4 grams per kilo. If it's an
20 incredibly non-toxic material, like glyphosate, and
21 you can give the animals 4 grams per kilogram body
22 weight for life and they're still okay, then that's
23 what you ought to do. And those data are every bit as
24 valid as any other high-dose dataset.

1 Correct me if I'm wrong, my fellow
2 panelists, but I just think that that's weird.
3 Because you really look like you are changing the
4 rules for glyphosate, and you don't do that for other
5 chemicals. That's number one.

6 Number two; for glyphosate, we have a
7 situation that I've never seen in my professional
8 career. We have like, 15 bioassays. I mean, wow.
9 And it's really 30 bioassays because, you know, there
10 are males and females, right. And there are like
11 three or four dose groups. We have like this plethora
12 of data. It's like an embarrassment of riches.

13 I've never seen a dataset this rich. I
14 don't know how many millions of dollars have been
15 spent, chronically bio-assaying the carcinogenicity of
16 glyphosate. I mean, my God. You can like, feed a
17 small nation on this. Okay.

18 Having said that, I think it is
19 intellectually lazy not to use the data as a set.
20 Okay. And that's where my friends, the statisticians,
21 come in. And Danny Zelterman and Kenny Crump, and
22 what's his name, Haseman or Haseman or however you say
23 your name. I mean, there's a reason that they're
24 doing these analyses. You don't need these guys, no

1 offense, when you've only got two bioassays, right.

2 I mean, a kill them and count them
3 toxicologist, like me, can look at those data, okay.
4 But when you've got basically 30 datasets, you need a
5 statistician. And the reason you need a statistician
6 is because you've got to be able to differentiate the
7 signal from the noise. Now you all know that
8 intuitively because you have one or two sentences in
9 your document that says, oh, and when you look at it
10 all together, it's kind of not consistent, so like,
11 were not impressed.

12 Well, but, do it rigorously, darn it.
13 I mean, do something that either Haseman or Zelterman
14 or Crump know how to do. I mean, you've got
15 statisticians. Maybe some of you sitting here are
16 statisticians. I mean, do it the right way. And the
17 reason to do it the right way is, as far as I can
18 tell, and the reason I strongly feel it's not a weak
19 carcinogen but a non-carcinogen, apparently, is that
20 when I look at what Crump has done and what Haseman
21 had done, I'm impressed by the inconsistency among the
22 findings.

23 And it looks, to this kill them and
24 count them toxicologist, like it's all just random

1 noise. It looks like, you know, sometimes you see
2 hemangiosarcoma and most of the time you don't. And
3 sometimes you see a little malignant lymphoma, and
4 most of the time you don't.

5 Now that's not the mark of a real
6 carcinogen. I mean, let's look at the counterfactual,
7 the positive control. If you bioassay vinyl chloride
8 15 times, what would you get? Every single time you
9 get angiosarcoma of the liver. You'd get it in the
10 mouse, you'd get it in the rat, and you'd get it in
11 people. What happens when you bioassay dioxin a
12 zillion times? You get a whole bunch of different
13 tumors, but you get a lot of different tumors.

14 What happens when you bioassay
15 glyphosate 15 times? Well, you get crap. Oh, I said
16 it again. You get noise, okay. You get, you know,
17 you get random noise.

18 And it looks to me, and I'm convinced,
19 although I do not understand the statistics completely
20 -- but I follow baseball so I do understand some
21 statistics. It seems to me that what's happening is,
22 you got all these hypotheses being tested, and
23 sometimes you get a yes, and most of the time you get
24 a no. But you don't get the same yes. Okay. And I

1 go back to thinking about this mechanistically and
2 biologically.

3 If glyphosate is mostly in the gut,
4 even for a couple hours, it's mostly in the gut, and
5 to the extent that is metabolized at all, is
6 metabolized by gut flora. Your a priori hypothesis
7 should be, if glyphosate was a carcinogen, it should
8 be a gut carcinogen. Okay. I mean, that's what makes
9 sense. Most of it is not being absorbed. It's just
10 sitting there in the gut and then being pooped out.
11 Okay?

12 Why don't we see colon tumors? Well,
13 maybe because it's not a tumorigen. Why do we
14 randomly see these other things? And so, I just think
15 you have this tremendous opportunity here, this
16 incredibly rich dataset. I mean, my goodness, all
17 those rats and mice have gone to their death for a
18 reason, and it's for you all to do some simple
19 statistics that I understand can be done, using either
20 Dr. Crump's paper or somebody else's method, for
21 multiple comparison testing; to ask yourself a simple
22 question: if you've got 15 bioassays, two sexes, three
23 or four dose groups, how often would you find a random
24 positive result, whether by trend test or pairwise

1 comparison? And do we see that more often or less
2 often?

3 And then from the biology point of
4 view, when we see something, is it replicated? And I
5 think we have the perfect example in that Lankas et
6 al. paper, right. That was a low-dose study. High
7 dose was only 30 or 31 mgs per kg. I don't know why.
8 Like, you know, that's weird. Okay. But they found
9 interstitial testicular cell tumors, otherwise known
10 as Leydig cell tumors. I don't know how to say it,
11 it's German. And everyone went, that's weird; I
12 wonder if this is compound related.

13 Then they did the study again at a much
14 higher dose level. Same strain and species, male
15 Sprague Dawley rats, obviously male, it's testicular.
16 Duh. Anyway, Sprague Dawley rats, much higher doses.
17 No Leydig cell tumors. Okay. They tested the
18 hypothesis and it turns out it was just a random hit.

19 I think you're right, that is not a
20 rodent tumorigen. I feel strongly that is not a weak
21 non-genotoxic tumorigen. Because if it was a weak
22 non-genotoxic tumorigen, again, you'd see the same
23 thing when you replicate the studies. And there just
24 isn't a consistency here. And if Haseman and Crump

1 are right, there is actually fewer positive responses
2 than you'd get.

3 I feel pretty strongly it's noise. I
4 don't think it's a signal, whether it's a signal of
5 genotoxicity or non-genotoxicity. I think you guys
6 got it right, but my goodness, I don't think you did
7 it the right way. Sorry.

8 **DR. ARAMANDLA RAMESH:** Dr. McManaman,
9 can I request one thing. We can conduct this business
10 in a polite way, and without offending others. There
11 is no need to run our mouth. We can respectfully
12 disagree. But I take strong objection to your use of
13 certain words, with all due respect, Dr. Green.

14 **DR. LAURA GREEN:** I apologize. No
15 disrespect was meant. I get excited. I do apologize.
16 I meant no disrespect. I apologize.

17 **DR. JIM MCMANAMAN:** All right. Thank
18 you. I agree with Dr. Green, largely. I think that
19 the evaluation process was correct. You might have
20 been able to do some additional things, but I think
21 overall, the evaluation was correct and there's little
22 or no carcinogenicity. I mean, as I can see it,
23 there's no carcinogenicity for glyphosate.

24 But I do want to come back to Dr.

1 Ramesh's point, is that in the human population, it's
2 unlikely that we're going to start with people who
3 have not been exposed or have no previous tumors.
4 Because you can have a tumor and you can have cancer
5 if it goes undetected. And that might contribute to
6 the human population.

7 I think one of the questions that would
8 be important to know is whether it's a tumor promoter.
9 Because a tumor initiator is what a carcinogen is, and
10 a tumor promoter could be something that's entirely
11 different. I think it's true that it's not a tumor
12 carcinogen, but I think that all bets are off on
13 whether it's a tumor promoter. And I think that
14 that's an important thing that could be conducted
15 pretty easily with animal studies. I think that's
16 where I would draw my limitations.

17 We'll move on to the next commenter,
18 Dr. Parsons.

19 **DR. BARBARA PARSONS:** My comments are
20 somewhat extensive, but I haven't had a chance to
21 speak very much, so please bear with me.

22 I disagree with the agency's approach
23 regarding the application of the Cancer Risk
24 Guidelines to the assessment of the glyphosate rodent

1 carcinogenicity data. I believe the data includes
2 multiple positive tumor responses that the document
3 concludes are not treatment related. One assumes that
4 the Agency is ascribing these observations to chance.
5 Yet, in my view, such a conclusion is not justified
6 based on the evaluation criteria described in the
7 cancer risk assessment guidelines.

8 I think I'll go into that in more
9 detail when we talk about the statistical
10 significance. Neither is the statistical analysis
11 approach employed, consistent with the evaluation
12 methods used by other authoritative bodies. At least
13 some of the statistically significant Cochran-Armitage
14 trend test, and unadjusted pairwise comparisons, I
15 believe they should be considered treatment related,
16 particularly ones that occur with P values of 0.01 or
17 below.

18 I disagree with the agency's dismissal
19 of statistically significant trends by stating that
20 they're not monotonic. I believe the high-dose
21 effects on growth and survival are potentially
22 reducing the observed significance of some of the
23 studies that are employing very high doses as the top
24 dose.

1 The document describes the lack of
2 reproducibility of significant tumor findings across
3 studies; without providing sufficient discussion of
4 the technical and biological differences that make
5 bioassays done across the world, over a 36-year
6 period, unlikely to be replicated with any precision.

7 Just as an aside, you mentioned the
8 Lankas study that was not reproduced. That was the
9 only study that treated those animals for 26 months
10 instead of 24 months. So how can we know that it was
11 not those additional two months of exposure that
12 resulted in that positive response? And there are
13 many differences like this.

14 This particular charge question also
15 asked about malignant tumors; test articles that
16 induce malignant rodent tumors are more concerned than
17 those that induce just benign tumors. And those that
18 induce tumors in both sexes and multiple species and
19 strains, also are of more concern. One comment is --
20 and this is something that Dr. Ramesh commented on.

21 One comment is that a summary table,
22 describing the number of different types of tumors,
23 and even the overall incidences across studies, would
24 be very helpful in trying to understand, are they

1 reproducible or not. We saw examples of that in the
2 presentations by Dr. Marques and Dr. Haseman. I think
3 those are very helpful.

4 Statistically significant findings,
5 regarding malignancies, were observed in male and
6 female rats, Wistar and Sprague Dawley, as well as
7 male CD-1 mice. The tumor types included mammary
8 gland adenocarcinoma in Wistar rats. This is the
9 Atkinson study. And the P value for the trend test
10 was 0.003. There were inductions of lung
11 adenocarcinoma and malignant lymphomas in male CD-1
12 mice. And this is the wood study. And there was a P
13 value for the trend test for malignant lymphomas of
14 0.007.

15 There was also, not in the document,
16 but there was a signal, I thought, for adenocarcinomas
17 in mammary gland, in glyphosate-treated female CD-1
18 mice. I won't give you the incidence numbers, but I
19 have them here. In addition, in a study of
20 glyphosate-treated Sprague Dawley rats by Atkinson, it
21 stated the overall number of animals with tumors was
22 similar between groups; but the number of males in the
23 high dose group with malignant tumors was double that
24 observed in controls.

1 The study of glyphosate-treated Wistar
2 rats by Suresh, reported the number of malignant
3 neoplasms in the low dose males were statistically
4 high. And the Wood study of CD-1 mice reported an
5 overall increase in multiple malignant tumors and
6 treated males relative to controls. Taken together, I
7 think these data provide ample evidence that
8 glyphosate induces malignancies in exposed rats and
9 mice.

10 Regarding statistical evidence of a
11 dose response, the document discounted four positive
12 tumor responses, tumors with a significant Cochran-
13 Armitage trend test. In part, because the tumor
14 responses were considered nonmonotonic. The document
15 discounted three additional positive tumor responses,
16 because the dose-response was considered shallow. In
17 my opinion, these are minor considerations, and I
18 question whether it is appropriate to discount a
19 significant positive trend test by using a test that
20 favors detection of a linear response, by saying the
21 response was not linear.

22 Is there statistical evidence that the
23 perceived lack of linear dose response was, itself,
24 significant and not just noise in the response? Also,

1 monotonic dose-response is not mentioned as criteria
2 in the cancer risk assessment guidelines.

3 Another important consideration, in
4 terms of analyzing dose response, is that mortality
5 data was not factored into the statistical analysis of
6 dose response. Review of the primary study documents
7 indicates that glyphosate caused early and
8 statistically-significant reductions in weight gain
9 relative controls in multiple studies, and
10 occasionally reduce survival in the high-dose groups.

11 Both of these effects of glyphosate
12 toxicity, have the potential to reduce tumor
13 incidences in high-dose groups. These points are not
14 mentioned or discussed in the document. Conversely,
15 the document does point out that in one instance --
16 this is Brammer -- the improved survival in the high-
17 dose group may help explain a modestly higher
18 incidence of age-related background tumors like liver
19 adenomas. I find the document is not balanced in this
20 regard.

21 Regarding the selection of appropriate
22 statistical methods, the OECD test guidelines: 451,
23 452, and 453 state, "Selection should make provision
24 for survival adjustments, if needed."

1 **DR. JIM MCMANAMAN:** Dr. Parsons, is
2 this A or B? Because you'll get a chance to -- you're
3 not on the thing for B. Go ahead.

4 **DR. BARBARA PARSONS:** Okay. Let me go
5 ahead.

6 **DR. JIM MCMANAMAN:** That's fine. I
7 didn't see that you weren't on the charge question B.

8 **DR. BARBARA PARSONS:** But according to
9 FDA's Guidance, for Industry Statistical Aspects of
10 Design, Analysis and Interpretation of Chronic Rodent
11 Carcinogenicity Studies of Pharmaceuticals, the
12 effects of differences in longevity on numbers of
13 tumor-bearing animals can vary substantially. And so,
14 whether or not the effects appear to be, they should
15 be routinely corrected when presenting experimental
16 results.

17 Also, the OECD guidance, document No.
18 116, refers to the Cochran-Armitage trend test and
19 states, "Problems arise if there are differences in
20 mortality between the groups. The test is sensitive
21 to increases and treatment-related lethality, and this
22 leads to an incorrect level of the Type 1 error, the
23 risk of falsely rejecting null hypothesis."

24 I think this is really something that

1 should be done, systematically.

2 **DR. JIM MCMANAMAN:** If there are more
3 comments about statistics, because you can comment
4 about this when we get to (b). If you want to hold
5 that --

6 **DR. BARBARA PARSONS:** Okay. I just
7 have one more paragraph then.

8 **DR. JIM MCMANAMAN:** Okay.

9 **DR. BARBARA PARSONS:** Regarding the
10 biological significance of the tumor data provided for
11 evaluation. It's this reviewer's opinion, that the
12 observed profile is exactly what you would expect for
13 a weak non-genotoxic carcinogen, one that causes
14 promotion or progression of spontaneously occurring
15 lesions. This conclusion takes into account a review
16 of the genetic toxicology data for glyphosate, which
17 was convincingly negative.

18 The rodent data includes statistically
19 significant increases in common spontaneous tumors,
20 which are likely driven by the genetics of particular
21 strains and substrains. This occurred at doses as low
22 as 31 mg per kilogram per day in Sprague Dawley rat,
23 in the Lankas study. Again, for which the P value for
24 the trend was 0.009, establishing an important point,

1 I believe, or reference point for interpreting
2 potential human risk associated with glyphosate
3 exposure. Thank you.

4 **DR. JIM MCMANAMAN:** Thank you, Dr.
5 Parsons. Dr. Sobrian.

6 **DR. SONYA SOBRIAN:** I haven't spoken
7 much, but in the interest of time, I'm not going to
8 read what wrote. Let's say, I agree with almost
9 everything that has been said. I've come to a
10 different conclusion -- the conclusions amongst the
11 panelists are different.

12 But the issues I found where the use of
13 the historical control, which I know will come up in
14 another area, it seems that it was used
15 inconsistently. That's, I think, what I find most
16 problematic; is that the use of some inconsistent
17 criteria, from study to study. But I thought that it
18 should be that the use of historical controls should
19 be made a priori, and not after you see that the
20 incidence in the control group is small. Now, that --
21 I'm not sure, but it's never said that.

22 The other issue, I think, is brought up
23 about the high doses and the lack of linear trend. Or
24 when you did find linear trend, but no significant

1 adjusted or unadjusted pairwise comparisons? The data
2 were just thrown out or dismissed? And I agree with
3 what's been said. Maybe I would like to see that
4 revisited with some of the other issues addressed.

5 Okay. Like I said, the issues of
6 control groups and stats; this issue leads to the
7 dismissal of the increases in 76.6 of the studies
8 cited, that were listed as either having a trend or
9 significant pairwise comparison. I just wanted to
10 mention a couple of other issues that you might want
11 to look at.

12 If you go to the source data, some of
13 the incidences that you get, both in the incidence and
14 in the survival, are different. And if you look at
15 what's in the source data, from what you have in your
16 table, it presents a different kind of stat. So maybe
17 you just want to explain what the differences are.
18 And why they're there.

19 There are also some effects in the
20 source documents that are attributed to glyphosate.
21 And that's never mentioned in the White Paper. I
22 would like to see at least a discussion of that and
23 why you dismissed it. Let's see. Oh yeah, I agree
24 with Dr. Green about the dose.

1 It's interesting, in the study in which
2 you had 4,968 something milligrams per kilogram, that
3 in fact there was no change in survival. There was a
4 decrease in body weight, which would've suggested
5 maybe a decrease in tumor incidence. But what was
6 found in that study was actually an increase in rare
7 tumor in males. Those are things that I would have
8 liked to have at least seen discussed in trying to
9 reach a conclusion.

10 I found some inconsistencies that I
11 think I'd like just addressed. It would make it
12 easier to make an opinion.

13 **DR. JIM MCMANAMAN:** Thank you, Dr.
14 Sobrian. Okay. I think we'll open this charge
15 question up to the rest of the panel. And the charge
16 question is to comment on the agency's review and
17 evaluation process of relevant laboratory animal
18 carcinogenic studies.

19 David Jett. Dr. Jett.

20 **DR. DAVID JETT:** Hi. I just have a
21 real general comment, and it's sort of been covered by
22 Sonya and others. And that is, it seems to me that if
23 you're going to use certain specific kinds of flaws in
24 the data, you know, not monotonic, the historical

1 controls, there weren't enough tumors in the controls
2 and so forth, you're going to really have to explain
3 that and really supported with evidence. Because
4 without that, I tend to lean on looking at these data
5 as something is there. It's not null.

6 I think you sort of, in a cursory sort
7 of way, talked about it a couple of times in the
8 document. But I would really, really try to increase
9 or strengthen that argument. Because if you're going
10 to use these, these are going to have to be supported.
11 I forgot what the other one was, monotonic trends and
12 a couple of other --

13 **DR. LAURA GREEN:** Historical controls.

14 **DR. DAVID JETT:** Historical controls
15 and I forgot what the other one -- there were a couple
16 of other things. That was just a general comment.

17 **DR. JIM MCMANAMAN:** Dr. Green.

18 **DR. LAURA GREEN:** I think everybody had
19 very good points to make. The reason I wanted to
20 stress the statistics and the plethora of data is, I
21 think, a really, really important point. I want to
22 try to state it again. Or maybe I wasn't clear.

23 Dr. Parsons is 100 percent correct
24 that, if all we had were Lankas et al. (1981) on the

1 question of whether glyphosate causes Leydig cell
2 tumors, she would be completely right. This is strong
3 evidence on its face, that Leydig cell tumors are
4 associated in a dose-dependent way with Leydig cell
5 tumors, in the Sprague Dawley rat.

6 We have zero Leydig cell tumors in the
7 untreated controls. We have 3 out of 47, which is 6
8 percent tumors, in the first dose group. We go from
9 zero out of 50. The low dose is 3 tumors out of 47.
10 The mid-dose is 1 tumor out of 49, and high dose is 6
11 tumors out of 44. And she could not be more correct,
12 that if this were all we had, whether you do a
13 pairwise comparison between the high dose group and
14 the controls, or whether you do a trend test, this
15 looks like a real carcinogen.

16 My point is a different one. The
17 question of whether glyphosate is associated with
18 Leydig cell tumors has been tested 15 times, nine
19 times in the rat, and five times in the mouse. And
20 it's only been found to be true once. The other 14
21 out of 15 times, it hasn't been true. You don't need
22 to be a statistician to say to yourself, okay, if the
23 question, does glyphosate promote Leydig cell tumors,
24 is that true or false? It's true once and it's false

1 14 times.

2 That's my point; my point is not that
3 individual studies are non-positive. And that's where
4 I agree with you entirely. And that's why I was
5 trying to castigate EPA. To call this study negative
6 is wrong. This is not a negative study. It's a
7 positive study. Trend test, pairwise, it's a positive
8 study.

9 The reason it's uninformative is
10 because 14 other studies disagree with it. That's my
11 point. And that's why I don't feel it's a promoter or
12 an initiator. And I want to speak to that
13 initiation/promotion because I think it's a really
14 interesting question, which I had not thought about.

15 But I was reminded that one of these 15
16 bioassays is of N-Nitroso glyphosate. Not glyphosate,
17 but N-Nitroso glyphosate. Which, to a first
18 approximation, if there is going to be a carcinogen
19 out here, it's going to be the N-Nitroso compound;
20 which was neither an initiator or a promoter, in the
21 one bioassay.

22 I agree it's an open question, but it's
23 kind of been tested a teeny bit. Not much, but a
24 little.

1 **DR. LUOPING ZHANG:** Can I make a quick
2 comment now?

3 **DR. JIM MCMANAMAN:** Well, wait because
4 I think somebody else had their hands up. Dr.
5 Sheppard did and Dr. Portier did first, I think. Can
6 we go with Ken first?

7 **DR. KENNETH PORTIER:** When I looked at
8 this question, I'm thinking this is related to Section
9 4.3 in the document, which lays out the assessment of
10 animal carcinogenicity studies.

11 In the first section, there's this
12 paragraph on dose selections. Two of the commenters,
13 so far, have talked about the high dose. And what I
14 found confusing is, the paragraph is maybe not clear
15 enough.

16 You have two OCSPP documents that talk
17 about not recommending the 1,000 milligrams per
18 kilogram body weight a day, as a recommendation from a
19 panel that has looked at animal studies in general.
20 But I wasn't quite sure to what extent these kinds of
21 guidance really applied to glyphosate. You kind of
22 refer to it, but I think you could save us a lot of
23 heartburn by kind of going into that a little bit
24 more; into those two documents and kind of pulling out

1 a little bit more of the reasoning these panels had
2 for setting that level.

3 And the other thing is, the difference
4 between a maximum-tolerated dose and a limit dose.
5 You know, toxicologists talk a lot about maximum-
6 tolerated dose, and I think when they see a study and
7 they look at the descriptions of what was happening in
8 the lab, they can tell when a dose was maximally
9 tolerated. And I see some of these in a couple of
10 these studies; you can kind of tell they were up
11 there. The animals had diarrhea and they lost weight,
12 and the urinalysis was real weird, and the blood
13 chemistry. Even two sentences that said something
14 like that would help the reader understand it.

15 The limit dose, again, goes back to
16 that 1,000 milligrams, which goes back to those two
17 documents. I think explaining the difference between
18 those two terms would help us a lot. And then I
19 really think, instead of one sentence you need a
20 paragraph that says why these two relate or don't
21 relate to glyphosate, because I struggled with that.

22 The whole next section is going to
23 focus on the maximum doses and how often you call on
24 the limit dose argument to remove a trend effect. And

1 so rather than beat on the statistical test, let's go
2 back and actually define our terms and make sure we
3 know why you kind of were able to invoke that. And
4 then we'll have this conversation again in the next
5 section.

6 On the second section on statistical
7 analysis to evaluate dose response and tumor
8 incidences --

9 **DR. JIM MCMANAMAN:** Can we hold
10 comments until we get to that section? Because we
11 haven't actually don't it yet, so we're still on A.

12 **DR. KENNETH PORTIER:** Well, this is on
13 the process.

14 **DR. JIM MCMANAMAN:** Okay.

15 **DR. KENNETH PORTIER:** Not on the
16 analysis themselves, but it's on the process. And I
17 think the discussion here lays the argument, that EPA
18 uses a lot, between the multiple comparisons and the
19 trend test. And they actually quote, on page 72, from
20 the Guidelines, this paragraph about the trend test.
21 And the key word is in the first word, in the last
22 line of that quote, which is, "Either kind of test is
23 sufficient."

24 And I think you're going to see a lot

1 of us keep coming back to that saying, well if either
2 is sufficient, logically, that means if one is
3 significant, I don't care about the other one. And
4 what happens is, you show one's significant and one is
5 not significant, and I chose the other one. And I
6 think if you're going to deviate from the guidelines
7 by a different logic, you need to set up why you can
8 use that different logic.

9 And then the final point I want to make
10 is, that in the arguments that follow, there's a lot
11 of discussion about monotonistic dose response. Yet
12 in this section, you don't really talk a lot about
13 monotonic dose response. And again, if you going to
14 use that as criteria in assessing the quality or
15 evaluating the quality of these studies, and the
16 results from the studies, you need to set up your
17 argument here for why monotonicity in dose response is
18 going to be an important criterion. It is part of the
19 process, it's not the analysis.

20 **DR. JIM MCMANAMAN:** Okay. All right.

21 **DR. KENNETH PORTIER:** Setting up the
22 process so that we know what the rules of the game
23 are.

24 **DR. JIM MCMANAMAN:** Okay. Great. Dr.

1 Sheppard.

2 DR. LIANNE SHEPPARD: Yeah. I actually
3 appreciate that I followed my colleague, Dr. Portier.
4 Because those were excellent comments and I agree
5 wholeheartedly with him, and I couldn't have said them
6 as well myself.

7 I have to say that I spent hours, not
8 being an expert in toxicology, but understanding
9 something from a different panel I was on a while ago
10 about the design of toxicology studies. I spent hours
11 figuring out why was this limit dose important, and
12 what was going on with it.

13 And of course, there's the relatively
14 recent commentary with your brother, Chris Portier, as
15 the first author, talking about the contract between
16 the IARC conclusions and the European Food Safety
17 Agency. Where they liken the limit dose to the
18 maximum tolerated dose, which is, I think, incorrect.

19 That got me going even more because
20 then I was really confused, not being a toxicologist.
21 But I think it's important to emphasize that the limit
22 -- as I finally think I've discerned from the
23 guidelines, which say it's not recommended that you
24 exceeded, because it's about the design of the

1 studies.

2 So once the studies are designed, they
3 are what they are, and then you analyze a whole study.
4 You don't get to change the design after the study is
5 done. It's an experiment and the doses were chosen
6 for a reason in an experiment. And so, the limit dose
7 -- and most of the studies go up, plus or minus -- the
8 limit dose is like a guideline maximum under certain
9 conditions, is what I understood it to be.
10 Particularly, which I believe I understand -- again,
11 this is not my area of expertise -- with a compound
12 where the maximum tolerated dose is really, really
13 high. And so, then the limit dose kind of weighs into
14 design of the study.

15 Once you have the design, that's your
16 data. And you've collected the data. You don't get
17 the throw out the high dose then. That's like,
18 illegal.

19 That's really, really basic. It's
20 really important to recognize that animal toxicology
21 studies are designed to understand the dose-response
22 relationship in animals, where we can't afford to
23 study enough animals that we can find one in a million
24 cancer. We're looking for 1 in 10. That's what we

1 powered the studies to do, is to detect 1 in 10
2 cancers, not one in a million.

3 For people, we care about one in a
4 million. That's why we have the whole discipline of
5 risk assessment as we take the hazard assessment. And
6 once we understand what's hazardous, then we translate
7 that to a risk assessment where we do that kind of,
8 okay, we care about one in a million, but we know
9 about 1 in 10 and bigger, or whatever, from animal
10 studies. How do we do that extrapolation?

11 That's a whole area that's covered by
12 risk assessment. We're not doing risk assessment.
13 We're doing a hazard evaluation. And so, that's super
14 important here.

15 The full spectrum of the doses
16 absolutely has to be considered and are relevant to
17 the goal of this, which is determining the cancer
18 potential from the studies.

19 I just wanted to expand on that point a
20 little bit. The other point that my colleague talked
21 about was that monotonicity argument. And as far as I
22 can tell, it's a completely non-statistical
23 evaluation, and therefore, should not be done.
24 Period. That should be dropped.

1 If you going to do some evaluation of
2 monotonicity, then look for, you know, deviations from
3 linearity, using a statistical test. It's like
4 another degree of freedom beyond the Cochran-Armitage
5 test. That I could accept. But this non-statistical
6 evaluation, after you've done the statistical
7 analysis, is completely inappropriate.

8 Getting back to the charge question. I
9 interpreted as it also being Section 4.3, but also
10 4.2. And I have to say that, the criteria that have
11 been laid out don't appear to be following the
12 guidelines, which -- as we've heard, in some specific
13 cases. And that's a problem.

14 And the new criteria that have been
15 introduced, but don't follow the guidelines are not
16 appropriate; specifically, the use of the limit dose,
17 the lack of monotonicity, and the way the historical
18 controls were applied. The evaluation also, was not
19 comprehensive within endpoint.

20 I believe that a systematic review
21 should be done by endpoint. And appropriate pooled
22 analyses should be done that account for all
23 acceptable studies that address that particular
24 endpoint. My understanding -- and again, I'm not a

1 toxicologist -- but my understanding is that you not
2 combine endpoint species and genders and pooled
3 analyses; because not only does it violate the spirit
4 and probably the letter of the guidelines, but also
5 the scientific interest is in whether there's any
6 carcinogenic potential that is relevant for humans.

7 And so, you need to look at each
8 outcome and each species and, I believe, each gender
9 separately in order to answer that question. Because
10 my understanding is that there could be a carcinogenic
11 effect in a rat and not in a mouse because of
12 different species. It's not appropriate to say oh, we
13 didn't see it in rats, but we saw it in mice. But
14 it's not relevant because there was nothing in rats.
15 It's relevant if you see it in mice alone.

16 And then with end species, there are
17 some strain differences that I don't fully understand.
18 I defer to my colleagues that know better about those
19 details. And then there is clearly a lifespan
20 consideration that's also important in these studies;
21 whether they're 18 or 24 or 26, and that's all really
22 important.

23 I did find that -- and I'll come back
24 to this -- but I did find that some of the pooled

1 analyses that we saw were very valuable, with respect
2 to answering the questions; and gave us much better
3 insight than what we saw in the document, which picked
4 out each tumor and study separately. That was not a
5 useful way to do it.

6 **DR. JIM MCMANAMAN:** Thank you, Dr.
7 Sheppard. Dr. Green.

8 **DR. LAURA GREEN:** Just to, I think,
9 summarize, I'm not sure we have consensus, but we have
10 more agreement than I think maybe is apparent.
11 Focusing on the agency's review and evaluation
12 process, I think we are all saying there is an unusual
13 richness of data here, which could be more fairly
14 analyzed than has been done in the draft document.

15 I think we're saying that -- at least
16 I'm saying -- that the way the German guy presented it
17 made sense because he was asking, when you test the
18 hypothesis, do Leydig cell tumors show up in a Sprague
19 Dawley rat; when you tested it three or four times --
20 there are three or four tests in the Sprague Dawley
21 rat -- are they consistent for Leydig cell tumors or
22 not? The answer is no. Once their positive three
23 times they're non-positive.

24 And you're quite right, that it varies

1 by species, and string, and sex. Obviously, females
2 have mammary gland tumors and males have testicular
3 tumors, and never the two shall meet. Whatever.

4 But it's a little more complicated with
5 regard to things like lymphoma, which you do not
6 expect sex differences within the same strain, so it
7 depends a little bit. But I think what we're all
8 saying is, you all would do yourselves a favor if,
9 rather than analyzing each, study by study, and
10 seeming to say the same thing, which is, well it looks
11 a little positive, but we don't believe it.

12 The way the German guy did it was, if
13 it's non-positive just say it's non-positive. If it's
14 positive, either say it's positive or say it's
15 equivocal. And then wait till the end and then group
16 them and see how many tests you have in the same
17 species and strain, and sex. Or both sexes, again, if
18 it's a solid tumor or you don't expect a sex
19 difference, before you can come to a sensible
20 conclusion. And if you do that, I think we all think
21 it would be more helpful.

22 And if you need to go to the next level
23 of statistical sophistication for multiple comparison
24 testing, which is beyond my capability to do,

1 certainly -- I don't know whether it's beyond your
2 group's capability to do, but it's certainly beyond my
3 capability to do. But at a minimum, presenting the
4 data the way the German guy did, not relying on
5 historical controls; I think we all agreed that was
6 post hoc and unfair. Especially for things like
7 lymphoma that show very late in animals, when we're
8 only talking about an 18-month study. That was really
9 just too post hoc for any of our taste.

10 But I don't think you need to do that
11 because, again, once you look at lymphoma responses
12 across all the animals, I don't think you're going to
13 need to bring up the historical control issue. It's
14 not going to even raise its head.

15 I think we still have some differences,
16 probably, but I think we're in agreement in most of
17 what I just said. No?

18 **DR. KENNETH PORTIER:** Well, we're going
19 to get to historical controls. But there was one word
20 you said that I'd like you to strike from the record,
21 and that's "fair." I think what we're talking about
22 here is, clear rules and consistent application of
23 clear rules.

24 **DR. LAURA GREEN:** That's what I meant.

1 **DR. KENNETH PORTIER:** Well, that's what
2 you meant by "fair" but we were quite sure. And I
3 didn't want people to feel like we feel it's been an
4 unfair analysis. It's at most, inconsistent
5 application of some unclear rules. That's the way I
6 look at it.

7 And they can improve their process by
8 clarifying the rules, and then consistently applying
9 them. And then maybe summarizing them in a better way
10 to make it clearer, of what the gestalt of all of it
11 looks like.

12 **DR. JIM MCMANAMAN:** Dr. Ramesh.

13 **DR. ARAMANDLA RAMESH:** In the same
14 line, the Agency never said they didn't believe in it.
15 The term they used was inadequate. Probably that
16 needs to be revised, reframed

17 **DR. JIM MCMANAMAN:** Thank you. Other
18 comments. Okay. I'll go back to the Agency then --
19 do you need further clarification?

20 **MS. DANA VOGEL:** I think one
21 clarification we have is we missed what you just said
22 at the end, and we want to make sure we understood it.
23 Could you repeat?

24 **DR. ARAMANDLA RAMESH:** Yes. Some of us

1 were saying that the Agency has thrown out some
2 studies. The Agency said that you guys did not
3 believe in it. What I said was that was not right.
4 In one of your slide presentations, some studies were
5 judged as "inadequate." Probably, that was keeping in
6 line with the selection criteria the Agency had
7 adopted to characterize the studies.

8 **DR. KENNETH PORTIER:** I think he's
9 saying it's a pejorative here. You're kind of ruling
10 the researchers. Probably a better term might be "not
11 valuable" for the assessment that you're doing. I
12 mean, that's the assessment you're really saying is,
13 we're judging this study, that's less or not valuable
14 to what we're trying to do here. You're not judging
15 the researcher's doing the experiment, and saying
16 you're an inadequate researcher.

17 **DR. LAURA GREEN:** Or maybe not
18 probative. I mean, not probative or something.

19 **DR. JIM MCMANAMAN:** Yes. I think they
20 get the point. That was Dr. Portier, Dr. Green, and
21 it was Dana Vogel that asked the question.

22 We'll go back to the Agency.

23 **MS. DANA VOGEL:** I guess just to
24 clarify what I heard, I did hear some differing

1 perspectives. I heard some things that were the same
2 amongst the panel, but I also heard some conflicting
3 opinions. If that could be spelled out in the report,
4 I think that would be helpful to us.

5 In addition to that, in the interest of
6 getting through this question as much as possible
7 today, we do want to make some clarifying points about
8 what we did and didn't do. Because it seems like
9 there's some misunderstanding of certain analysis we
10 did or didn't do. But I'm wondering if that might be
11 better served either at the end or first thing
12 tomorrow morning. Because there are just some things
13 that were said that, I think, might be a
14 misunderstanding of what was actually done.

15 **DR. JIM MCMANAMAN:** Maybe at the end.

16 **MS. DANA VOGEL:** Okay. We can hold
17 them all and put them all together. That's fine with
18 us.

19 **DR. JIM MCMANAMAN:** Sure. That's good.
20 Okay. Thank you, Dana. All right. If we can read
21 Charge Question 3(b).

22 **DR. ANWAR DUNBAR:** This is Dr. Anwar
23 Dunbar with Charge Question 3(b).

24 For some of the available animal

1 studies, statistically-significant trends in tumor
2 incidence were observed with the lack of
3 statistically-significant pairwise comparisons, when
4 adjusted for multiple comparisons. Please comment on
5 the agency's methodology and interpretation of
6 statistical analyses to evaluate a linear dose
7 response (trend test) and increased tumor incidence as
8 compared to controls (pairwise comparisons).

9 **DR. JIM MCMANAMAN:** Thank you, Dr.
10 Dunbar. The lead discussant on this is Dr. Zelterman.
11 The associate discussants are doctors Crump, Portier,
12 Ramesh, and Sheppard.

13 Dr. Zelterman.

14 **DR. DANIEL ZELTERMAN:** Well, there's a
15 tremendous sense of déjà vu here that is so much of
16 what was covered in the previous. But let me see if I
17 can say some other things. I do have one slide. This
18 is as if to beat to death the Lankas data. Here it is
19 again.

20 This was just discussed. Dr. Dunbar
21 presented this on Tuesday, so there's nothing new
22 here. There's nothing new here. I'll use this as an
23 example and keep coming back to this in the charge.

24 Overall, the pairwise comparisons are

1 going to have much lower power than the tests for
2 trend. The published studies, if you do studies in
3 nutrition, they'll often compare the highest and
4 lowest quintiles, looking for differences in the
5 extremes. However, these methods suffer from --
6 there's going to be a lack of power and the
7 interpretation just flies out the window. I don't
8 know how you interpret the very highest dose to the
9 very, very lowest doses.

10 I can hear anybody trying to point to
11 the EPA and howling with the interpretation you're
12 trying to make from this.

13 **DR. JIM MCMANAMAN:** Dr. Zeltermann, can
14 you move your mic a little bit closer? We're having a
15 hard time hearing.

16 **DR. DANIEL ZELTERMAN:** In the charge,
17 you're looking for a linear trend. I don't think
18 anybody expects a linear trend. Instead, we're
19 looking for a monotonic trend in the unobservable
20 underlying population. But here's a point that was
21 just made in the previous charge. There's no reason
22 to expect to see a monotonic response. The EPA is
23 confusing the underlying population rates with the
24 observed empirical rates. And these are, so help me,

1 on opposite sides of the wall of China. These are
2 totally different concepts. You can't confuse these.

3 There are other methods that I've heard
4 mentioned, the NOAEL and LOAEL, looking for the lowest
5 change point in exposure from the control group.
6 These also have very low power and I just throw them
7 out.

8 What I like to see is, instead of the
9 Cochran-Armitage test, I'd like you to also embrace
10 the Mann-Kendall test, which is nonparametric for
11 trends. I didn't see this anywhere. It's the
12 probability that a higher exposure will have a higher
13 response rate. In the formula, you look at
14 permutations; the number of times the higher dose
15 exhibits the higher response.

16 Instead, the Cochran-Armitage talks
17 about the differences of the rates. Now, who among
18 the epidemiologists talks about a difference? We
19 don't. We talk about ratios, and odds ratios. So
20 again, Cochran-Armitage doesn't have an easy
21 interpretation. You're looking at differences of
22 rates. I don't know how to interpret that. It's
23 hard.

24 What I'm going to be coming back to is

1 a lot of these are the defaults and SASS. Using SASS
2 and their defaults doesn't make you more virtuous or
3 taller.

4 How about embracing logistic
5 regression? All right. Just go full parametric.
6 These are going to have the most power, and you also
7 have a nice simple interpretation in terms of the dose
8 response. I didn't see any logistic regression. But
9 these are going to look for trends.

10 They're going to look for trends in
11 terms of odds ratios. There's a nice interpretation.
12 There is lots of power there. You have to make some
13 assumptions, but that's okay. Nobody is going to
14 fault you on this.

15 The Fisher's exact test; you know,
16 there's a comparison of all the Fisher's exact tests.
17 The Fisher's exact test was used to perform pairwise
18 comparison. Simply, it enumerates all the possible
19 combinations of responses that could have occurred in
20 a 2 x 2 table. Using the exact text, is again, not a
21 virtue. It doesn't make you taller or more handsome.
22 In fact, it underestimates the effects. It's well
23 known to have low power and underestimates the effects
24 --

1 DR. LAURA GREEN: Can I ask a question
2 about what you're doing so we can all follow you?

3 I don't mean to interrupt, but I just
4 want to know whether -- I mean, maybe everyone else
5 understand this. But in your bottom table, are you
6 applying those tests to the data in the top table?

7 DR. DANIEL ZELTERMAN: That's right.

8 DR. LAURA GREEN: Thank you.

9 DR. DANIEL ZELTERMAN: Yes. There was
10 an FDA memo, 385. I think it was -- I just listed all
11 of the summary tables and all of the summaries
12 statistical comparisons. And these were just
13 extracted from that.

14 DR. LAURA GREEN: I'm not very quick.

15 DR. DANIEL ZELTERMAN: Okay.

16 DR. LAURA GREEN: Just so I understand,
17 what you're walking us through, in your bottom table,
18 are different ways of statistically analyzing, and
19 therefore getting both raw p-values and whatever the
20 Sidak p-value is, different ways of analyzing the same
21 dataset. And it's the dataset that's presented above.

22 DR. DANIEL ZELTERMAN: That's right.

23 DR. LAURA GREEN: Good.

24 DR. DANIEL ZELTERMAN: All right.

1 Maybe I should've taken a minute to --

2 **DR. LAURA GREEN:** No, it's probably
3 obvious to everyone else.

4 **DR. DANIEL ZELTERMAN:** Okay. Well, it's
5 not obvious to me, so let me explain it.

6 The mice are at controls in four
7 different doses. And in the last column, are the
8 totals adding up all the way across, the rats. It's a
9 Lankas study.

10 **DR. LAURA GREEN:** They are Sprague
11 Dawley rats.

12 **DR. DANIEL ZELTERMAN:** You know better
13 than I with this. Tails, but furry. All right.

14 And looking at where it says Fisher's
15 exact, bling, bling, bling, it's comparing the control
16 group with the lowest dose, and then the control group
17 with the medium dose, control with the highest dose.
18 You have three different comparisons. And Cochran-
19 Armitage gives you one p-value for the whole, what did
20 you say, gestalt.

21 **DR. LAURA GREEN:** And that was what the
22 Agency used, right?

23 **DR. DANIEL ZELTERMAN:** Yes.

24 **DR. LAURA GREEN:** Got it.

1 **DR. DANIEL ZELTERMAN:** This was what
2 the Agency used and this was a document that -- I
3 didn't do the computing here. This was No. 385 of all
4 the documents he sent us. This was the analysis, at
5 the bottom, was from that document. All right. Okay.

6 So where was I? Fisher exacted, it
7 doesn't make you taller or more handsome because it's
8 called exact. Exact just enumerates everything that
9 could happen. But it underestimates effects and the
10 p-values are not going to be as robust and forthcoming
11 as you would like. Use the Pearson chi-square that
12 you learned about in grad school.

13 Now, for multiple comparisons, the
14 Sidak comparison. This is used for multiple
15 comparisons and you'll see there's a last column there
16 for the Sidak p-value. Briefly, this is a default in
17 SASS. It assumes the tests are independent and it's
18 commonly compared to the Bonferroni. You may have
19 heard of the Bonferroni correction. Okay.

20 And Dr. Sheppard pointed out to me, and
21 I can verify this, that if the p-value is really,
22 really small, it doesn't matter if you use Bonferroni
23 or Sidak. It doesn't matter. As long as the p-value
24 is really, really small. In fact, if you can see the

1 smallest one at the very bottom, it's like, three
2 times as large, which is exactly what Bonferroni
3 would've said. You did three tests. All right.

4 **DR. LAURA GREEN:** Wait. You lost at
5 least me.

6 **DR. DANIEL ZELTERMAN:** So what's the
7 last numbers? I can't read that.

8 **DR. LAURA GREEN:** You mean the 0.039?

9 **DR. DANIEL ZELTERMAN:** .013. Yeah, 13
10 and then 039. It's three times as big. When the p-
11 value is really, really small, the raw p-value will be
12 one-third the corrected value.

13 **DR. LAURA GREEN:** And that's like, some
14 rule of thumb or something?

15 **DR. DANIEL ZELTERMAN:** No. It just
16 happens -- I'm not going to go to the board and start
17 writing down formulas, but it's true when the p-values
18 are really small.

19 **DR. LAURA GREEN:** And why do we care
20 about that?

21 **DR. DANIEL ZELTERMAN:** Because it
22 doesn't matter whether you use the Sidak or Bonferroni
23 --

24 **DR. LAURA GREEN:** Got it.

1 DR. DANIEL ZELTERMAN: -- for the
2 really small p-values that matter. All right. So
3 where was I? Oh, yes.

4 Bonferroni is going to find fewer
5 statistically significant results. The Sidak is less
6 stringent for the same false discovery rate. However,
7 let us bring ourselves to the 21st century.
8 Benjamini-Hochberg correction is now state-of-the-art.
9 In fact, who knew, all right? But it's not the default
10 in SASS, so it doesn't bestow virtue. All right.

11 When I worked at the sister agency at
12 the FDA, across town, p-values have a very different
13 meaning; and a lot hinges on those p-values. I've
14 been shocked, shocked at the way the p-values have
15 been thrown around here. I'm going to talk about this
16 example and all the p-values here. Benjamini-
17 Hochberg, I spoke to your programmer. Yes, I spoke to
18 this guy and showed him how to do it. It's easy
19 enough. And in my write-up, I'll give an exact
20 reference that you can cite for this.

21 Let me cite this example. And this was
22 a dataset that we had. And I explained the data.
23 You're right. It's 50 rats, right? And each of four
24 different groups. And 200 were examined by

1 pathologists. This is all data that we've talked
2 about. Cochran-Armitage compares the four exposure
3 levels. In this example, the Cochran-Armitage detects
4 a trend, but only the most extreme of the pairwise
5 comparisons are statistically significant.

6 Now we take a deep breath. The three
7 Fisher tests are not independent. They all compare
8 higher doses to the same control. You can't use the
9 Sidak correction. The tests are not independent. I'm
10 not going to go and start doing a whole lot of
11 mathematics, but common sense would say those three
12 tests are not independent of Cochran-Armitage either.

13 I have these four that is somehow
14 related. You know, it's not obvious, maybe they're
15 not married, but they're cousins. You know, they're
16 related in some interesting way. All right. Should
17 we correct for three or should we correct for four?
18 Now it gets interesting. What are we really doing?

19 Let me go back to the Lankas reference.
20 And they point out elevated tumor rates illustrated
21 here. And sometimes they talk about it in the
22 introduction. They said, look what we found, look
23 what we found. And if you read the introduction, it
24 goes on and on and they say, look what we found. And

1 they repeat it several times. But then you have to --
2 and I cite, page 2,841. That's where it gets
3 interesting because everything up until then is
4 talking about how much they ate and how much they
5 pooped. All right.

6 There were also female rats. The rats
7 were examined for tumors in other body parts by
8 pathologists. I went there and I counted 32
9 hematology parameters, eight organ weights, 38
10 microscopic examinations for a total of 78. Then
11 there were two sexes, three doses compared to
12 controls, and overall trend for increasing dose, for a
13 total of 624 p-values.

14 Now, what is the probability that the
15 smallest p-value is statistically significant at the
16 .05 level? We would have to use either Bonferroni or
17 the Sidak, something like roughly one in a million.
18 It'll be pretty darn small.

19 What are the chances of finding a p-
20 value in this enormous dataset that's less than .05?
21 Dr. Green, what was your word? I won't repeat your
22 word, but the answer is virtually certain we will find
23 statistically significant results with like,
24 probability 1.

1 Conclusions. I've kept you so late and
2 everybody wants to go home. Cherry-picking your p-
3 values removes any useful interpretation you assigned
4 to these. The P values mean nothing. Pairwise
5 comparisons are going to have lower power than tests
6 for trends, and are going to be more difficult to
7 interpret. The appropriate corrections for multi-
8 comparisons really needs to be formed in a very
9 thoughtful manner. Not just four tests, but the
10 hundreds of tests that actually were performed. It's
11 not clear how many tests were performed in order to
12 check for this.

13 They are not specified a priori.
14 Again, when I worked at the FDA, they have to specify
15 the p-values a priori, before they go out and invest a
16 lot of money following patients to see if the drug
17 cures cancer.

18 **DR. LAURA GREEN:** Can I ask, though, I
19 don't really get how there's 600 tests. Let me try to
20 reframe it. Let's say we're not interested in
21 pairwise comparisons.

22 **DR. DANIEL ZELTERMAN:** Right.

23 **DR. LAURA GREEN:** We're just interested
24 in trends. We would only do one trend test per sex,

1 per tumor type. That would only be like, let's say
2 100 tests, right?

3 **DR. DANIEL ZELTERMAN:** Well, it was 30
4 different tumor types, but then they also tested for
5 trends in organ weights.

6 **DR. LAURA GREEN:** No, but that doesn't
7 count.

8 **DR. DANIEL ZELTERMAN:** That doesn't
9 count?

10 **DR. LAURA GREEN:** Okay. Because that's
11 not cancer.

12 **DR. DANIEL ZELTERMAN:** Okay.

13 **DR. LAURA GREEN:** No. I mean, I don't
14 think we're being completely -- that's what I'm trying
15 to --

16 **DR. DANIEL ZELTERMAN:** Okay.

17 **DR. LAURA GREEN:** -- get to here. I
18 don't care about organ weights. I don't care about --
19 if all I'm asking myself as a cancer biologist is, I
20 did this experiment, I'm going to test each sex and
21 tumor type for trend. I'm sorry, you said there were
22 38 tumor types?

23 **DR. DANIEL ZELTERMAN:** Yeah, 38 tumor
24 types and then --

1 DR. LAURA GREEN: Okay. Isn't that
2 only 76 trend tests?

3 DR. DANIEL ZELTERMAN: Yeah. Okay.
4 Let's make it 100. I like 100.

5 DR. LAURA GREEN: No, let's do 76.

6 DR. DANIEL ZELTERMAN: No, 100 is going
7 to be easier because --

8 DR. LAURA GREEN: Okay. Let's do 100.
9 What do you get?

10 DR. DANIEL ZELTERMAN: What's .05 over
11 100? It's going to be .000 --

12 DR. LAURA GREEN: Two.

13 DR. DANIEL ZELTERMAN: Something.

14 DR. LAURA GREEN: Which way does it go?
15 Sorry.

16 DR. DANIEL ZELTERMAN: So .0005, right?
17 How many zeros?

18 UNIDENTIFIED SPEAKER: (Off mic.)

19 DR. DANIEL ZELTERMAN: Okay. It's .05
20 divided by 100. Take your smallest p-value.

21 DR. LAURA GREEN: That's five times ten,
22 minus four, right?

23 DR. DANIEL ZELTERMAN: Okay. And it
24 doesn't achieve that. All right. I rest my case,

1 Your Honor. It's not small enough.

2 DR. LAURA GREEN: Okay. I'm sorry.

3 What you're saying is a p-value that looks
4 significant, but is in fact only 0.039? What's the --

5 DR. DANIEL ZELTERMAN: No.

6 DR. LAURA GREEN: 0.009. That's the
7 trend.

8 DR. DANIEL ZELTERMAN: Yeah. Take the
9 guy on top and multiple him by 100. It's going to be
10 .9. There's your smallest p-value. It's like, .9.
11 Is that enough to write home about?

12 DR. LAURA GREEN: No, of course not.
13 Oh, is that the point?

14 DR. DANIEL ZELTERMAN: Yeah, that's the
15 point. We've done 100 tests. Okay. So, .009
16 multiplied by 78, then.

17 DR. LAURA GREEN: Right. By 76.

18 DR. DANIEL ZELTERMAN: Or 76. It's
19 still not going to be like, .05.

20 DR. LAURA GREEN: Okay. Just so I
21 understand it. The right way to do multiple
22 comparison is you take, in this case, 76, you multiply
23 it by the p-value, and if the p-value is way big,
24 which it's going to be, then the multiple comparison

1 test indicates that this one significant result is not
2 significant?

3 **DR. DANIEL ZELTERMAN:** Absolutely. You
4 got it.

5 **DR. LAURA GREEN:** Yes.

6 **DR. DANIEL ZELTERMAN:** Good. Higher
7 math. All right. What do we got? They were not
8 specified a priori just because SASS -- and in summary
9 -- just because SASS uses a method and SASS is the
10 default, it's not taken as an endorsement or the best
11 possible method. In an earlier charge, they referred
12 to Sujimoto, but we didn't receive that.

13 The fella from Germany, the nice
14 presentation he had with the great big table of p-
15 values, that was a very nice presentation. And it
16 would be nice -- and here I don't have it, but this is
17 maybe saying I've got to go home and write a paper
18 about it. It would be nice to say here's this great
19 big table and I want p-value for the whole table.
20 That would be really nice. That would be so cool.

21 **DR. LAURA GREEN:** Is that doable?

22 **DR. DANIEL ZELTERMAN:** Oh, yeah. But
23 we got to write the paper first. I mean, I don't know
24 how to do that. I don't know how to do that.

1 **DR. LAURA GREEN:** So can I ask a
2 related question because it was my mishegas?

3 Can we use your method to combine the
4 Lankas result with the Stout, and whatever it is, the
5 replication at the higher dose?

6 Remember, there were like three or four
7 Sprague Dawley rat bioassays, all testing glyphosate.
8 Could we do something like this multiple comparison
9 thing across all four Sprague Dawley datasets?

10 **DR. DANIEL ZELTERMAN:** Absolutely.

11 **DR. LAURA GREEN:** And would that be
12 meaningful?

13 **DR. DANIEL ZELTERMAN:** The easiest
14 thing to do is multiply your p-values by four. But as
15 in this case, you see you got to multiply them by
16 something much bigger. But it's basically that. You
17 multiply your p-value by the number of tests you did.

18 **DR. LAURA GREEN:** Okay. Now I just
19 want to ask about my counterfactual or positive
20 control.

21 **DR. DANIEL ZELTERMAN:** Bring it on.

22 **DR. LAURA GREEN:** If the compound we
23 were looking at were vinyl chloride, and we had four
24 tests of vinyl chloride and they all showed only one

1 tumor response, which was angiosarcoma of the liver,
2 and everything else was non-positive. Wouldn't your
3 analysis discount that?

4 **DR. DANIEL ZELTERMAN:** It would, but
5 then, of course, you'd go out and you'd replicate and
6 you'd see more liver cancers in other studies. And
7 these would be replicated. This was just cherry-
8 picked and it's totally out of context.

9 **DR. LAURA GREEN:** So --

10 **DR. JIM MCMANAMAN:** Okay. Can we --

11 **DR. LAURA GREEN:** Okay.

12 **DR. JIM MCMANAMAN:** We're getting more
13 into an educational component than an evaluation
14 component. All right. Dr. Zeltermann, are you
15 finished?

16 **DR. DANIEL ZELTERMAN:** Yeah, I'm
17 finished. And Kenny is next.

18 **DR. JIM MCMANAMAN:** Okay. Dr. Crump.

19 **DR. KENNY CRUMP:** There are several
20 questions about the analysis of the animal data. And
21 some of these questions don't really fit the
22 questions. I'm going to be making comments along the
23 way. I'm not sure exactly what order to make them,
24 but I will try to find my comments here to things

1 people have already addressed.

2 I think the biggest problem that I see
3 in the analysis of the animal data, is we go about it
4 from the wrong perspective. We go through tumor by
5 tumor by tumor by tumor by tumor. Oh, here's one,
6 here's one, here's one, here's one, here's one. And
7 we don't get a sense of a global picture. And that's
8 what we're missing here.

9 Dr. Haseman gave us some useful
10 information in his analysis the other day. He
11 computed the expected number of positive results you
12 would see just by chance in a bioassay. And if you
13 think about it, you should see roughly, anytime you
14 analyze 20 tumor sites, you expect to see one positive
15 even if there is nothing going on.

16 If you analyze 200 tumor sites, how
17 many positives are you going to get? I mean is not
18 quite .05, but probably less than that because of
19 discrete things. But you're going to see a lot of
20 things. If you don't, something's wrong. Something
21 is wrong if you don't see a lot -- even if nothing is
22 going on -- if you don't see a lot of significant
23 results in analyzing these data, you got to figure out
24 what's going wrong. You're supposed to see that, just

1 by chance.

2 I think the first thing we need to do
3 is figure out how to handle that situation. I think
4 Dr. Haseman had, at least, put things in perspective.
5 He showed, due to his calculations, that the number of
6 positive results we saw in the studies were less than
7 a number that you would expect, if you just throw the
8 animals with cancer into groups just by chance. And I
9 think that's useful information.

10 **DR. LAURA GREEN:** Although, Kenny, can
11 I ask; because Dr. Haseman also qualified it several
12 times by saying it also depends on the strength of the
13 positive result. Right. Isn't it also important -- I
14 mean, let's just use this. If this one result, you
15 know, gave us a really strong dose response, wouldn't
16 that change?

17 **DR. KENNY CRUMP:** Yes. You could take
18 that into account also. Let me finish, okay.

19 **DR. LAURA GREEN:** Okay.

20 **DR. KENNY CRUMP:** I did essentially the
21 same thing Dr. Haseman did, except I didn't ever get
22 through with it. This is very tedious to do that. I
23 need a toxicologist to pull out all the stuff I should
24 be looking at, so I'm not looking at the wrong things.

1 But I looked at three studies, two rat
2 studies and one mouse study, and computed it just like
3 Haseman did. The expected number of positive results,
4 less than .05, you would see in those studies, given
5 the tumors; they just permute the tumors at random.
6 And I got something, 4.5, I think. You expect to see
7 about 4.5 significant results.

8 In that study, there were three. You
9 got fewer than what you expect to see. I think that
10 tells you something. And let me say, there are tests
11 that you can use, global tests. You don't specify a
12 result in advance. You say, what's the probability,
13 these data show a carcinogenic effect anywhere. And
14 you apply the test, and it has the correct false-
15 positive rate, and you get the result. And then you
16 can look and see if it is positive, where it occurred.
17 There are several such tests like this, which I don't
18 think they've ever really been applied. I don't know
19 why.

20 There's one that I'm familiar with by a
21 guy named Crump. A long time ago, Farrah and Crump
22 (1988). There's one by Westfall (1985). There one by
23 Brown and Fears (1981). And all of these tests,
24 they're all very similar. You can apply them and

1 decide, globally, without looking at any tumor
2 individually, what's the probability there was a
3 significant tumor significant response anywhere in
4 this study. I'd like to at least recommend that EPA
5 take a look at those.

6 **DR. LAURA GREEN:** Do you need the raw
7 data for that?

8 **DR. KENNY CRUMP:** Yeah. The problem
9 is, one of the difficulties is you need individual
10 animal data. Every animal -- you need to know which
11 tumors occurred in every single animal. And then you
12 permute animals in dose groups. It really has the
13 same assumptions as the Fisher's exact test and the
14 exact Cochran-Armitage test. It's conditioned on the
15 tumor pattern you saw. That's what the Cochran-
16 Armitage test does. That's what the Fisher's exact
17 test does.

18 And just to give you an idea, we
19 developed this test, like I said, 30 years ago, and we
20 applied it, I think, one example for our report. We
21 never looked at it again. But I remember in one case,
22 a study of male mice, there was -- hepatocellular
23 carcinoma was statistically significant, by itself, at
24 .027.

1 And when we applied it as a global
2 test, the test overall, was there any evidence on male
3 rats, the p-value was only .15. This can make a big
4 difference in how you interpret the data.

5 I think Bonferroni is another
6 application, but I really think a test like this, that
7 would give you an exact p-value, corrected for
8 multiple comparisons, would even be better than using
9 Bonferroni.

10 Okay. Let me go on to something else
11 now. Oh, let me just say that although the Cochran-
12 Armitage's trend test uses a linear dose response in
13 its definition, it has power to detect all monotonic
14 responses. Just because we get a significant linear
15 trend, it doesn't mean that the dose response is
16 linear, because it has power for all kinds of
17 monotonic responses. We should keep that in mind.

18 Now, about pairwise tests or trend
19 tests. Well, first of all, I think typically the
20 trend tests would have greater power for detecting
21 effects than pairwise tests. And I also think that
22 having multiple tests for the same hypothesis just
23 complicates things. And I also agree with what Daniel
24 just said, that if you have three pairwise tests and

1 one trend test, if you're going to correct for both
2 the comparison, you ought to throw the trend test in
3 there to make that correction.

4 My recommendation is that you use one
5 test consistently that has high power, and I think
6 that would be a trend test. And just don't do the
7 other tests. And your practice of down-weighting a
8 trend test, if the pairwise tests are not significant,
9 I think it's also against her guidelines. Someone
10 said that it says if you get a trend test, either one,
11 what your guidelines say is enough. You don't need to
12 worry about the other one. But I will go further than
13 that to say, just don't do the one. Just do the
14 powerful trend test.

15 And by the way --

16 **DR. LAURA GREEN:** Kenny, wouldn't there
17 be instances in which --

18 **DR. JIM MCMANAMAN:** Can we let him
19 finish?

20 **DR. LAURA GREEN:** Oh, I'm sorry. I
21 just had a clarifying question.

22 **DR. JIM MCMANAMAN:** No. We need to let
23 him finish because there are other people that have --
24 and then we can clarify things at the end there.

1 **DR. KENNY CRUMP:** By the way, none of
2 these tests that I believe were done in the EPA report
3 were age-adjusted. And I would suggest that you
4 should use an age-adjusted test. You should actually
5 repeat those using an age-adjusted test. I would
6 suggest maybe the poly-3. I'm not sure it's going to
7 make any difference. I'm not sure there are great age
8 differences in these tests, but I would just suggest
9 that you just routinely adjust for age differences by
10 using a test like the poly-3 test.

11 Let me see what else I have here.

12 I agree that throwing out dose
13 responses that are nonmonotonic, you just shouldn't do
14 that. That should not be a criterion at all. The
15 true dose response can easily be linear, even though
16 you observe dose responses -- I mean, should easily be
17 monotonic even if the observed dose response is
18 nonmonotonic.

19 And to convince myself of that I did a
20 couple of simulations; where I took a monotonic dose
21 response and generated data from it. I took two
22 different cases, in both cases, the observed dose
23 response was nonmonotonic over half the time. The
24 idea that a dose response is nonmonotonic, it just

1 doesn't tell you anything.

2 **DR. LAURA GREEN:** Well, it's like this
3 dataset.

4 **DR. KENNY CRUMP:** You shouldn't be
5 doing that.

6 **DR. LAURA GREEN:** I mean, this dataset
7 is a good example, right?

8 **DR. KENNY CRUMP:** Yeah, yeah, yeah.
9 Now, there are times, if they're widely nonmonotonic.
10 But even then, I just wouldn't worry about it. Just
11 don't worry about that in conducting your test.

12 The EPA evaluation gives far more
13 weight to the question of whether the observed
14 response was monotonic than it deserves. Also, I'll
15 comment on the use of the limit dose. It's already
16 been commented on here. I think I agree with what
17 other people have said about that.

18 First of all, I don't think what you're
19 doing is specifically following the guidelines. When
20 I read the guidelines, I read that for a feeding
21 study, the limit dose was 5 percent in feed. And 5
22 percent is bigger than any of the doses in any of
23 these studies.

24 There's a question if you're even

1 following the guidelines in that respect. But I do
2 wonder -- I think it was Dr. Sheppard talking about
3 that. I do question whether you need to have any
4 limit dose or not. Just worry about the MTD and then
5 if that gets something at the MTD, then you have to do
6 a risk assessment and try to figure out what might be
7 happening at low dose.

8 So just because something is
9 significant at a really high dose, much higher than a
10 human dose, it doesn't necessarily mean that you don't
11 have to worry about what's happening in doses that
12 humans are exposed to. It depends on what the dose
13 response is. Each one of those animals is a stand-in
14 for millions of humans. You know, we're interested in
15 risk around one in a million sometimes.

16 Okay. I think that's all the comments
17 I have right now on the analysis of the animal data.
18 But I will have others when we talk about other
19 issues, questions. Thank you.

20 **DR. JIM MCMANAMAN:** Thank you, Dr.
21 Crump. Dr. Portier.

22 **DR. KENNETH PORTIER:** Hopefully, I'll
23 be a little terser. By the way, 5 percent is 1250
24 milligrams per kilogram a day, and I think the

1 recommendation now is 4 percent, which is 1,000
2 milligrams. That's what those documents were kind of
3 saying. They've lowered the limit dose. I mean the
4 EPA Cancer Guidelines just may be behind the times on
5 this. That's all.

6 **DR. KENNY CRUMP:** You're talking about
7 mice or rats?

8 **DR. KENNETH PORTIER:** That was for
9 rats. I didn't compute it for mice. I can give you
10 that. I concur with what both of these have said. I
11 mean, I didn't have the energy to go through and do
12 all that analysis, and I appreciate what Dr. Haseman
13 did, because I certainly wasn't going to do that.

14 The Sidak test that you used is not
15 referenced anywhere in the document. I wasn't sure if
16 that was Sidak or Sidak Shu (phonetic). There's a
17 couple of Sidak multiple comparison procedures. You
18 need to put the reference in the doc.

19 I would say that the Sidak test, I
20 think you're using, is a modification of a Dunnett's
21 procedure. It's not a full multiple comparison. It's
22 a control vs. treatment and it modifies the P value
23 the farther away you are in a rank order from the
24 controls.

1 It may be a little bit more powerful, I
2 guess, in some situations. It might be justified if
3 the Dunnett test is there. But it doesn't take us
4 away from this global experimental multiple
5 comparison, what we call data-dredging issues. I
6 mean, what you're trying to avoid is data dredging.

7 And as Daniel was talking, I was
8 thinking, that's why researchers look at 36 tumors,
9 right? Because you're guaranteed of getting something
10 to publish, right? And they don't want us to do this
11 multiple comparison procedure, because then nothing
12 would be significant.

13 **DR. LAURA GREEN:** That's not fair. But
14 these studies aren't published.

15 **DR. KENNETH PORTIER:** Huh?

16 **DR. LAURA GREEN:** These studies aren't
17 published.

18 **DR. KENNETH PORTIER:** Well, the
19 industry ones aren't, but the private university ones
20 are, right? The issue of exact versus approximate
21 test didn't come up; but did come up in the Haseman
22 and Chris Portier papers. And I really think Dr.
23 Haseman did a good job of kind of raising that issue
24 and highlighting how important it is in doing the

1 test, especially with rare tumors like this. This can
2 be very important in figuring out what's marginally
3 significant and not significant.

4 On the monotonic dose response issue,
5 there are statistical test out there to look for
6 things like a strict inequality in dose response like
7 a Jonquièrre. Jonquièrre test, nonparametric test. But
8 as Dr. Zelterman mentioned, these are very weak tests.

9 Even if you do them, we're not quite
10 sure -- for these samples sizes, I'm not that quite
11 sure, in a cost/benefit analysis, it's even worth your
12 time to do it. I mean, if you want to say it, it just
13 adds another test to that list of 624 tests. You'd
14 just have another test you'd have to take into
15 account.

16 The final thing I wanted to point out -
17 - let me just make sure -- is that, you know, what
18 we've been talking about here, in terms of answering
19 your questions about the methodology for interpreting
20 the analysis, is that we're arguing about false
21 positives. And the problem is when you do these
22 experiments, you have a high chance of coming up with
23 a false-positive.

24 And the trick for you guys is figuring

1 out the real positives from the false positive. And I
2 think when we get to Question 5, where we start
3 talking about the Hill criteria and things like
4 consistency and plausibility, is where we look at that
5 suite of what was significant in these experiments.
6 And we start to say, you know, is there consistent
7 signal here?

8 So yeah, it might not be significant in
9 Experiment 1, 2, or 3, but if I see liver cancer,
10 liver cancer, liver cancer, liver cancer, that's
11 improbable. I don't know how we'd figure that out,
12 but, you know, most statisticians would say that's
13 unlikely, that you would run four repeated experiments
14 and get the cancer to come up significant in four
15 independent experiments. Maybe even in two, it's
16 maybe improbable.

17 The Cancer Guidelines that looks for
18 things like repeated cancers in both sexes, or in
19 multiple species, or in multiple experiments, are
20 really trying to get at this repeatability and
21 implausible under a randomization assumption,
22 patterns. I think we're going to try to get to that.
23 That's the issue of trying to get the real positives.

24 I think I'm going to leave it at that

1 because I don't really have much else to say than what
2 these guys said.

3 **DR. JIM MCMANAMAN:** Thank you, Dr.
4 Portier. Dr. Sheppard.

5 **DR. LIANNE SHEPPARD:** Not me.

6 **DR. JIM MCMANAMAN:** Oh, I'm sorry. Dr.
7 Ramesh.

8 **DR. ARAMANDLA RAMESH:** I agree with my
9 fellow panelists, who are experts in biostat. I have
10 nothing to add.

11 **DR. JIM MCMANAMAN:** Okay. Thank you,
12 Dr. Ramesh. Dr. Sheppard.

13 **DR. LIANNE SHEPPARD:** Now I'll take my
14 turn. With all due respect to several of my
15 colleagues who have spoken, I really want to put the
16 comments into context. And to really think about the
17 question that's being charged, the job that we have to
18 do and the work that EPA has to do.

19 If the charge of our panel was to
20 address how toxicology studies should be evaluated for
21 use in cancer hazardous assessments, then I think a
22 number of the considerations we've discussed in
23 response to this charge question, about the best ways
24 to analyze the data, are relevant. But our concern is

1 actually with determining the carcinogenic potential
2 of glyphosate under existing guidelines.

3 We're not making up new rules, where
4 following the existing rules. I'm really confining my
5 consideration in what I'm responding to, to the
6 appropriateness of the decisions and the procedures
7 that the Agency has used within the context of the
8 existing guidelines. And I think that is super
9 important.

10 The data dredging considerations, I
11 think this is really important that we pay attention
12 to it. And unlike other compounds, at least my
13 understanding, not having done this before that, you
14 know, the database here is big. You can't ignore the
15 multiple studies issue. I wouldn't call it a multiple
16 testing issue because I think that's actually the
17 wrong way to think about it. I think one of the
18 things is, you know, really, how are we approaching
19 the question. I think we should be likening the
20 question here to how people approach safety studies in
21 clinical trials. I'm not a clinical trials expert
22 either. I'm not sure anybody else on the panel has
23 expertise in that area.

24 **DR. LAURA GREEN:** Dan.

1 **DR. LIANNE SHEPPARD:** Dan. Well, maybe
2 Dan can weigh in on this then. But, you know, in
3 clinical trials when we're looking at safety, we're
4 trying to understand any inkling that the drug is
5 unsafe. Okay.

6 We're not worried about multiple
7 testing in the same way, we're worried about any kind
8 of signal that's out there, that tells us something
9 that, you know, we didn't really understand before we
10 started the study. And similarly, here, I think what
11 we care about is whether there is compelling evidence
12 that this compound, glyphosate, is carcinogenic in
13 animals. That's what we care about.

14 The idea of pooling a whole lot of
15 tests together and looking, you know, at lung, with
16 lymphoma, with all the other cancer endpoints. You
17 know, we've already discarded the weight and all that
18 stuff. It's just not appropriate, scientifically,
19 because that's not what we care about. Similarly, we
20 wouldn't combine species because we care if it happens
21 in one species. We don't care if it happens in both
22 species. That's not the criteria. We care if there's
23 evidence in one species.

24 The real question is, how do we do

1 that? And I think the most appropriate way to use all
2 studies is to pool them. Pool the evidence about any
3 particular tumor in an appropriate manner. And
4 appropriate means that you pool -- properly taking
5 into account things like study duration, species,
6 gender, endpoint doses et cetera, I'll say, leaving it
7 to the experts to determine what those things are.

8 And actually, I think the analyses that
9 are in the Docket, and a spreadsheet that was updated
10 by Christopher Portier, are actually really well on
11 that path. I would expect that EPA, would want to
12 redo that using their own actual criteria. And I
13 don't want to get into the details of that, but I
14 think that is how I would suggest approaching it.

15 I would not recommend adjusting for
16 multiple comparisons. I would recommend pooling the
17 evidence when there is an outcome where this looks
18 important. Because we have multiple studies that are
19 asking the same exact question, and they should be
20 pooled.

21 With respect to what was done in the
22 document, the agency's way over-weighting the pairwise
23 comparison test. And evidence of trend is important
24 in these small studies, if we're interested in

1 understanding carcinogenic potential. And
2 furthermore, as we've already discussed, EPA's
3 Guidelines do not state that both criteria must be
4 met, as is clearly stated in the issue paper on page
5 72.

6 And in addition, the Agency is using
7 additional non-statistical criteria such as
8 monotonicity, that are neither guidelines nor
9 sensible. There wasn't really any effort to
10 understand why, for any given outcome, there were or
11 were not similarly reported important trends reported
12 in other studies.

13 And so, as I have already said, I think
14 that the way to do this is to do a pooled analysis.
15 And I would probably recommend random effects type
16 meta-analysis, but that's maybe for a little bit more
17 careful thought.

18 In my draft comments, I put in Dr.
19 Portier's Excel spreadsheet because I think that's a
20 really great example that we should be following. And
21 I do want to acknowledge that ultimately, what we want
22 to do is distinguish the false positives from the true
23 positives. And we're all trying to figure out how to
24 do that.

1 **DR. JIM MCMANAMAN:** Okay. We have a
2 diversity of opinion. Dr. Zelterman, I saw you
3 shaking your head. You were in agreement with some of
4 what Dr. Sheppard was saying, but she was discounting
5 the use of the multiple comparisons, and you seem to
6 be in favor of that.

7 **DR. DANIEL ZELTERMAN:** Oh, I was mostly
8 confused about the analogy to clinical trials.
9 Because see, going in to talk about safety in a
10 clinical trial, there is a lot of Bayesian evidence.
11 That's another bad word that I apologize for using.
12 But they already have a very good idea of what the
13 side effects are going to be. And they know to look
14 for them, and we plan accordingly. We know they'll be
15 certain toxicities that we look for.

16 But there's a real objective, and the
17 real objective is to find curative potential in the
18 drug. And the side effects are something on the side
19 that we already managed to get into account. But we
20 don't look for significance levels in doing many
21 safety measurements on each patient, who is being
22 treated for a more severe disease. It's not exactly a
23 perfect analogy to clinical trials, but we do many,
24 many tests.

1 **DR. LIANNE SHEPPARD:** The analogy was
2 more in terms of the type of scientific question we
3 were trying to answer and not the procedures that were
4 being applied specifically. It was about the question
5 that we're trying to answer.

6 **DR. JIM MCMANAMAN:** It seems to me,
7 there's a lumping and a splitting here going on. Do
8 the other statisticians agree with Dr. Sheppard that
9 we should be just lumping all these studies together?

10 **DR. KENNY CRUMP:** I have a comment
11 about that.

12 **DR. JIM MCMANAMAN:** Yeah.

13 **DR. KENNY CRUMP:** Well, the pooling is
14 an intriguing idea. I'd like to know more details
15 about it. I think the devil might be in the details.
16 I mean, would you pool different sexes or would you
17 pool different species? I'm thinking probably you
18 wouldn't.

19 This doesn't really apply to
20 glyphosate, but it does apply to a general type of
21 pooling. Suppose you only had one study? There's
22 nothing to pool, but you still got a problem with
23 different things popping up, and you'd like to put
24 that in some kind of comparison; and pooling, I don't

1 think would help you there.

2 **DR. LAURA GREEN:** Can I make some
3 suggestions on pooling because I think it's easy --

4 **DR. JIM MCMANAMAN:** No. Let's let Dr.
5 Sheppard respond. Because I think that this really
6 gets down to the crux of how to provide useful
7 information to the Agency about the approach. And I
8 think she raised an important issue and we'll let her
9 respond to it.

10 **DR. LIANNE SHEPPARD:** Well, first of
11 all, we're not talking hypothetically, we're talking
12 about the evidence that's in front of us. And you
13 know, the guidelines seem to be more focused on the
14 situations where you have one, or at most, two studies
15 that you're interpreting. The guidelines don't
16 address how to use the evidence from multiple studies,
17 where we have here, what, on the order of 15, if I
18 remember correctly. Although, less, if you're looking
19 within a species.

20 And in terms of what you pool together,
21 I think that's more a scientific question than it is a
22 statistical question. I would actually defer to my
23 colleagues across the table, who are much better
24 prepared to answer that question than I am, about what

1 you would pool. But presumably, you would not pool
2 mice with rats. In many outcomes, you would not pool
3 genders. And then, you know, I defer to them for any
4 more elaboration.

5 **DR. JIM MCMANAMAN:** Dr. Parsons had her
6 hand up first.

7 **DR. BARBARA PARSONS:** I have a pretty
8 different perspective from what we've been discussing.
9 I do agree that of all of the reasons to downgrade the
10 statistically significant findings, that multiple
11 comparison is a valid issue. But I don't think it's
12 this panel's job to invent the best statistical
13 approach to eliminate chance observations.

14 In fact, this is a regulatory agency.
15 It's a risk management decision of what level of Type
16 1 and Type 2 error is appropriate to accept. You
17 would not want to use a test that's going to ensure
18 that you never observe a false positive.

19 This panel is charged with evaluating
20 the documents, which is described as evaluating the
21 carcinogenic potential of glyphosate, based on the
22 Cancer Risk Assessment Guidelines. We have discussed
23 this multiple comparison issue. If you're presented
24 with the document, what do you analyze? Do you really

1 do statistical test on every possible endpoint? I
2 don't think so. It would just completely eliminate
3 the sensitivity of your assay.

4 Do you cherry-pick? I don't know that
5 that was a good idea either. Different people might
6 approach your document in different ways. It would be
7 subjective. Regulatory agencies come up with these
8 decision rules. They make a broad statement that yes,
9 the 0.5 level is too high, but if we see a lower
10 level, this is what Dr. Bus described to us. FDA has
11 a decision rule, and that is .025 for rare tumors,
12 .005 for common tumors.

13 Now, I think it is just completely
14 implausible that the people who wrote the cancer risk
15 assessment guidelines did not consider this multiple
16 comparison issue. And I believe the guidelines
17 address this and provide -- EPA has written in here a
18 decision rule. I don't think there's any need for us
19 to interpret what level of significance or what
20 adjustment for multiple comparison should be used.
21 And that's why, in my comments before, I highlighted
22 tumor response that occurred with the statistical
23 significance of .01 or below.

24 Let me just read it to you. The

1 Guidelines state, "Consideration of multiple
2 comparisons should also be taken into account.
3 Haseman (1983), analyzed typical animal bioassays that
4 tested both sexes of two species. And concluded that,
5 because of multiple comparisons, a single tumor
6 increased for a species site combination that is
7 statistically significant at the 1 percent level for
8 common tumors; or 5 percent level for rare tumors;
9 corresponds to a 7 or 8 percent significant level for
10 the study as a whole.

11 Therefore, animal bioassays presenting
12 only one significant result, that falls short of the 1
13 percent level for a common tumor, should be treated
14 with caution."

15 So perhaps I'm over-interpreting, but I
16 turned that on its head and say this sentence
17 describes EPA's decision rules. And that significant
18 results that fall below that 1 percent level of
19 significance, should not be treated with caution.
20 Meaning, they should be accepted.

21 I mentioned the FDA's decision rule.
22 The 0.025 -- well, let's just talk about for common
23 tumors, 0.005. This is what FDA uses and this is for
24 drugs that are considered to be therapeutic,

1 potentially beneficial, and the whole population is
2 not exposed. You know, there's no potential adverse
3 effect associated with that.

4 The idea that EPA Guidelines would
5 suggest a p-value cutoff that's two-fold more
6 conservative, I think is very consistent with the
7 regulatory mission of the EPA. And I guess I'll just
8 stop right there.

9 **DR. JIM MCMANAMAN:** So I think that
10 part of the problem in some of the discussion came
11 about, while it does revolve around so much about the
12 p-value, what p-value should be used, it was also some
13 of the agency's evaluation. Whether they would
14 include pairwise in one case and a trend in another
15 case. I think that in terms of our discussion about
16 this, we're asked to comment on their use of these
17 particular approaches. And to that degree, I think
18 that it can't just be about the p-value. It has to be
19 about the approaches too.

20 **DR. BARBARA PARSONS:** Well, I agree
21 with what everyone else has said; that the guidelines
22 clearly state a significance in either a trend test or
23 the pairwise comparison, should be considered --

24 **DR. JIM MCMANAMAN:** Okay.

1 DR. BARBARA PARSONS: Meaning as a
2 treatment effect.

3 DR. JIM MCMANAMAN: Dr. Portier.

4 DR. KENNETH PORTIER: Just coming back
5 to the question, which probably should be up there.
6 The Agency is asking us to comment on the methodology
7 and interpretation.

8 DR. JIM MCMANAMAN: Right.

9 DR. KENNETH PORTIER: Daniel talked
10 about methodology that he would recommend changing.
11 And I think the three of us have talked about how you
12 would interpret it. And then Dr. Sheppard came back
13 and said -- and she maybe approached this with a
14 different methodology. And I was sitting there
15 thinking about a modeling methodology, and there's a
16 lot to offer there. I mean, you know, that's what
17 statisticians do, is help you put this stuff together.

18 Yeah, there's a lot of questions we'd
19 have to answer before we could jump into those models.
20 I was sitting there saying why couldn't I do that? I
21 can't come up with a good answer of why I couldn't do
22 it. Kenny, maybe you can come up with one.

23 Why we couldn't combine them,
24 logically, but it's not EPA's normal methodology. And

1 I think Dr. Sheppard put her finger right on it, that
2 the guidelines really are the typical database, which
3 is two, maybe three studies. I've sat on this panel
4 50 something times. I don't think I've ever seen nine
5 rat studies and six mouse studies that we're looking
6 at, with a chemical that has such a weak toxicity
7 signal. I mean, the combination is driving us crazy.

8 But I think that that is a mixed-effect
9 model with an appropriate dose response curve, taking
10 into account sample sizes. Taking into account the
11 age at which the animals died or were sacrificed,
12 which I think is important. Taking into account some
13 covariates like body weight issues that we see at
14 extreme high doses that some of those animals -- I
15 think I saw one where within two weeks, the animals
16 lost 20 percent body weight and never gained it. The
17 highest dose stayed 20 percent below for the rest of
18 their lives.

19 And the confounding issues, the low
20 dose animals actually might live longer than normal
21 dose animals; you know, giving them more time to get
22 cancer. But I think, actually, that could be
23 incorporated in a nice, complicated analysis. And
24 then I thought, and what would be the end result?

1 Probably wouldn't change things too much. It might.

2 I mean, I might be surprised, but since
3 we don't see a lot of consistent -- oh, oh, the other
4 thing is a logical combining of tumor types. Right
5 now, we think of those 38 tumor types as separate, but
6 we also know something about rat and mouse physiology,
7 and which tumors, kind of, maybe should be counted
8 together and which ones should be separated.

9 I think we can, you know, logically,
10 the EPA could reduce that. But that pulls you away
11 from your Cancer Guidelines. Okay. We all agree that
12 that moves you away from your current Guidelines. But
13 it might save you bigger headaches further down the
14 line.

15 But to Dr. Parson's point, though, I
16 think what we've been pointing out is that whatever p-
17 value you set in your guidance, there is a risk that
18 you're taking false positives. And that risk goes up
19 the more data you have to look at. And thinking of
20 the FDA case, I'm sitting here thinking, well, how
21 many safety studies for a new drug do they actually
22 see? Maybe one, right?

23 One well-designed, well-managed safety
24 study.

1 DR. ANWAR DUNBAR: More than that.

2 DR. KENNETH PORTIER: Two? Three? For
3 a new drug?

4 DR. ANWAR DUNBAR: Yes, three.

5 DR. KENNETH PORTIER: Okay. Well, then
6 maybe I'm wrong.

7 DR. JIM MCMANAMAN: Okay. Dr. Green.

8 DR. LAURA GREEN: I think this has been
9 an amazing group-think exercise and, I think, we're
10 really close to something.

11 I think everybody seems to be in
12 agreement. I want to just add my toxicologic two
13 cents worth. I really like your idea, which I gather
14 is Chris Portier's idea, which is to look at all the
15 data. I really like your idea of cleaving to the
16 guidelines. That's really a good reminder. I think
17 it's not so hard because we know that we're supposed
18 to keep Sprague Dawleys with Sprague Dawleys and
19 Fisher rats with Fisher rats, and CD-1 mice with CD-1
20 mice, and Swiss mice with Swiss mice. Hard to say,
21 especially at 6:35 p.m.

22 I think this is actually a very doable
23 exercise. Easy for me to say, I don't have to do it.
24 But I think it's a doable exercise. I think it would

1 be a noncontroversial exercise. I mean, you'd have to
2 specify a bunch of things, but if the Agency has the
3 raw data, or at least some raw data -- and that's a
4 big "if" -- I do not know what the answer is. Maybe
5 you don't have it, but maybe the NTP has it, right. I
6 mean, somebody's got it. The industry has it.

7 I mean, in these 6,000 pages of things,
8 right, there are raw animal data. I've looked at some
9 of them from like, Kumar et al. (2001).

10 The raw data exists from the industry
11 studies, animal by animal. We know what the species
12 are. We know what the strains are. We know what the
13 tumor endpoints are.

14 I have a feeling that this is all
15 doable. And if Chris Portier has already started it,
16 I haven't seen that spreadsheet because we seem to be
17 in email isolation here, so I can't get it. But I
18 mean, maybe I'm being too enthusiastic here, but I
19 think we're all saying that this is a way to use 14
20 datasets in a really exciting way.

21 **DR. JIM MCMANAMAN:** Steve told me that
22 Chris Portier's comments/approach is on the docket.
23 We'll have that spreadsheet.

24 Okay. All right. I think that we've

1 thoroughly evaluated -- Dr. Johnson?

2 **DR. ERIC JOHNSON:** So I have a question
3 for Dr. Trump. Dr. Crump.

4 **DR. LAURA GREEN:** Wait, is he the
5 President? Is he the President-elect over here?

6 **DR. ERIC JOHNSON:** When we have the
7 situation in which we have far fewer significant
8 results than expected, like yesterday we had a
9 situation where there were almost three times that's
10 fewer significant results as expected. What is the
11 interpretation of that? Is it one strong support for
12 no effect?

13 Is it two, and inverse effect, or is it
14 three, biologically implausible something along --

15 **DR. KENNY CRUMP:** I think Dr. Haseman
16 can answer this one better than I, but I will give you
17 some ideas. First of all, this is expected. No
18 confidence limits were put on it, so we don't know
19 what range would be really still consistent with the
20 expected. You know, I really wonder sometimes if, you
21 know, some of these studies are not done in ways that
22 are amenable to the statistics we're assuming.

23 I'm not sure that they always read
24 animals blind. And they may have a lot of

1 dependencies in there. And I think that might affect
2 those kinds of things.

3 We assume that everything is random,
4 but we may read slides -- I've heard they don't do it
5 randomly. They know which dose groups they are
6 looking at. And I've analyzed some data, I couldn't
7 figure what was going on. And then I figured out I've
8 had the same tumor in the control group; they gave it
9 a different name than if it was in a high dose group.
10 That's a possibility.

11 **DR. JIM MCMANAMAN:** Okay.

12 **DR. LIANNE SHEPPARD:** I wanted to add
13 one more possibility; and that is when you do the
14 multiple comparison adjustment with a whole lot of
15 different tumors, some of which the compound has no
16 carcinogenic effect on whatsoever, then that may also
17 affect things. Although, you're right; the expected
18 number should still -- false positives should show up.
19 But this other issue is also important.

20 **DR. JIM MCMANAMAN:** Okay. I think that
21 we've given you some suggestions and some evaluations
22 of your approaches. I think that there is maybe some
23 agreement, but the details may differ slightly. But I
24 think that overall, there is a general agreement that

1 there were some limitations in your approaches that
2 almost all of the commenters on this charge question
3 had in mind.

4 With that, I'll go back to the Agency
5 and ask if you need further clarification.

6 **MS. DANA VOGEL:** We don't have anything
7 specific at this time. But again, at the end of all
8 the questions, we'll clarify things that we hear along
9 the way that we think are being interpreted
10 incorrectly, about what we've done here.

11 **DR. JIM MCMANAMAN:** Okay.

12 **MS. DANA VOGEL:** Thank you.

13 **DR. JIM MCMANAMAN:** So with that, given
14 that we are really way far behind, and we have to wrap
15 this up tomorrow, I think that we should maybe plan on
16 meeting at 8:00 and begin the meeting at 8:00 in the
17 morning. I don't know who I have to clear that with.
18 Is that okay with --

19 **MR. STEVEN KNOTT:** I concur.

20 **DR. JIM MCMANAMAN:** He concurs. Yeah,
21 he's under the gun trying to get this finished.

22 **DR. KENNETH PORTIER:** Is Dr. Sheppard
23 going to be awake at 8:00 in the morning, right? From
24 Washington.

1 **DR. LAURA GREEN:** Dr. Chair, is it
2 totally inappropriate to suggest a dinner break and
3 another hour after dinner? Is that like, off the
4 table?

5 **DR. SONYA SOBRIAN:** It's off the table.

6 **DR. LAURA GREEN:** Okay. It's off the
7 table, it's off the table. Okay.

8 **DR. JIM MCMANAMAN:** Dana Vogel?

9 **MS. DANA VOGEL:** Can I ask just one
10 question that I did forget before? I thought I heard
11 that Dr. Ehrich was one of the lead discussants for
12 Question 3, but we didn't hear her. Are we going to
13 hear her comments or were they incorporated?

14 **DR. JIM MCMANAMAN:** Sure. Her comments
15 were included in the other comments because she had to
16 leave.

17 **MS. DANA VOGEL:** Okay. I wasn't sure.
18 I just wanted to make sure there weren't others.

19 **DR. LAURA GREEN:** Yes. She wrote them
20 down also.

21 **MS. DANA VOGEL:** I wasn't sure if they
22 were incorporated in what we heard or there were
23 additional comments to come.

24 **DR. JIM MCMANAMAN:** Thanks for that

1 catch.

2 DR. LAURA GREEN: Yeah, she provided
3 them in writing.

4 MS. DANA VOGEL: Okay. Thanks.

5 DR. JIM MCMANAMAN: All right. Thanks,
6 everyone, for staying late.

7

8 [WHEREAS THE MEETING WAS ADJOURNED FOR
9 THE DAY]

10

11 DAY 4

12 MR. STEVEN KNOTT: Just a brief
13 reminder, again, as I mentioned at the beginning of
14 the meeting, you know, there were a number of public
15 comments, and those materials will be available in the
16 docket within the next week or so. Probably within
17 the next few days, but certainly in the next week.

18 And that's available on www.regulations.gov. And the
19 docket number and the web address and everything are
20 located on the agenda and other meeting materials.

21 With that, I will turn it over today to
22 Dr. McManaman, our Chair, to introduce the panel.

23 Thanks.

24 DR. JIM MCMANAMAN: Good morning. I'm

1 glad to see that there are a few stalwarts that are
2 still here, after the entertainment the other day.

3 I'm Jim McManaman. I'm a professor at
4 the University of Colorado and Chair of this session.
5 And I'll ask the other panel members to briefly
6 introduce themselves.

7 **DR. JOSEPH SHAW:** I'm Joe Shaw. I'm a
8 toxicologist and a permanent panel member from Indiana
9 University.

10 **DR. SONYA SOBRIAN:** Good morning. I'm
11 Sonya Sobrian and I'm a developmental
12 neuropharmacologist from Howard University College of
13 Medicine.

14 **DR. KENNY CRUMP:** I'm Kenny Crump. I'm
15 a statistician. I'm an ad hoc member of the committee
16 and presently unattached, professionally.

17 **DR. LAURA GREEN:** Wow. Good morning.
18 I'm Laura Green; chemist and toxicologist with Green
19 Toxicology, LLC. Ad hoc member, and attached to my
20 husband.

21 **DR. ERIC JOHNSON:** Good morning. I'm
22 Eric Johnson. I'm a professor in epidemiology at the
23 University of Arkansas for Medical Sciences.

24 **DR. BARBARA PARSONS:** Good morning.

1 I'm Barbara Parsons from FDA's National Center for
2 Toxicological Research.

3 **DR. ARMANDLA RAMESH:** Good morning.
4 I'm Aramandla Ramesh from Meharry Medical College.

5 **DR. KENNETH PORTIER:** Ken Portier,
6 American Cancer Society.

7 **DR. DANIEL ZELTERMAN:** Dan Zeltermán,
8 good morning. Dan Zeltermán, professor of
9 biostatistics at Yale.

10 **DR. EMANUELA TAIOLI:** Emanuela Taioli.
11 I'm a cancer epidemiologist, professor at Mt. Sinai
12 School of Medicine.

13 **DR. LIANNE SHEPPARD:** I'm Lianne
14 Sheppard, a biostatistician from the University of
15 Washington.

16 **DR. JIM MCMANAMAN:** Dr. Jett is en
17 route, and so he'll be here shortly. I think that if
18 we can read in Charge Question 3(c). See if we can
19 have that read into the minutes, and we'll begin
20 there.

21 **DR. ANNA LOWIT:** Dr. McManaman, we had
22 one really quick clarification this morning.

23 **DR. JIM MCMANAMAN:** Okay.

24 **DR. ANNA LOWIT:** Yesterday there was

1 some on and off discussion about the scope of this
2 current analysis related to the focus on the active
3 ingredient, as opposed to the formulations. And I
4 certainly think there is a strong consensus from all
5 of you that we need to be looking at the formulations.

6 We wanted to highlight, for you,
7 Section 7 of the issue paper. That talks about some
8 collaborations that we have in its infancy stage with
9 the National Toxicology Program, related to looking at
10 a systematic analysis of the glyphosate formulations.

11 We're acutely aware of the issues in
12 the literature around the formulations, but it's a
13 very complex problem. Certainly, you've seen, in one
14 of the appendices of our document, that we've already
15 compiled the gene tox for all the formulations. It's
16 somewhat a complicated story; it's not as
17 straightforward as the AI.

18 There are many, many glyphosate
19 formulations and they all have their own different
20 amounts of glyphosate in them. They have different
21 surfactants. They have different amounts of
22 surfactants and they have other stuff. It's a very
23 complicated, complex, multi-faceted problem. That's
24 actually why we're collaborating with the NTP to get a

1 handle on the difference between the AI and the
2 formulations. And that's going to take some time to
3 work through, but we want you to know that we're aware
4 of this problem. But from a regulatory point of view,
5 we have to do registration review for glyphosate
6 itself as an active ingredient.

7 We also have an inerts group and a
8 registration division who does regulation of the
9 individual inerts. As we work through the projects
10 and the science analysis and the laboratory
11 experiments on the formulations, we'll be working with
12 our registration division and their science group who
13 do inerts to ensure that the formulated products are
14 safe. But it's a very complex problem and not one
15 that can be solved quickly, and it's going to take
16 quite a bit of experimentation.

17 We want to make sure that you are aware
18 of that. You had seen Section 7 and understood the
19 direction that we were taking, and that we were not
20 just ignoring what we think is an important issue.

21 **DR. JIM MCMANAMAN:** Thank you, Dr.
22 Lowit. Any comments from the panel related to that?

23 **DR. LAURA GREEN:** Yeah, just
24 clarification of, at least my concern. I was trying

1 to suggest yesterday, I think, that the middle ground
2 be taken in the short run; which is not to look at the
3 so-called inerts, but to look at the isopropylamine
4 conjugate, which, again, to my mind, is known to act
5 differently in terms of certainly physical chemistry
6 and pH and solubility -- I guess I'm being redundant -
7 - from the acid.

8 Unless I'm mistaken, that shouldn't be
9 that difficult. I was not asking about the
10 surfactants, et cetera.

11 **DR. ANNA LOWIT:** I think the salts
12 issue and the different kinds of salts and their
13 different properties is, in many ways, a separate
14 issue than the combination of all the inerts and the
15 active ingredient. It's a different issue.

16 **DR. JIM MCMANAMAN:** Okay. Thank you.
17 Oh, I'm sorry. Dr. Parsons.

18 **DR. BARBARA PARSONS:** Just another
19 quick question. Is it not possible for EPA to request
20 data on the actual formulations from the sponsors?

21 **DR. ANNA LOWIT:** Well, we get some, but
22 it's limited.

23 **DR. BARBARA PARSONS:** Okay. You do
24 have that?

1 **DR. ANNA LOWIT:** We get acute lethality
2 data that's used in worker protection safety and
3 labeling, but that's acute lethality. We also get the
4 three topical toxicities, skin sensitization, skin
5 irritation and eye irritation, which are also used for
6 worker protection to assess what kind of personal
7 protective equipment they should wear in the field.

8 We also, in varying degrees, depending
9 on the situation, do get some of that data for our
10 ecotoxicology assessments. And on rare occasions, we
11 will, on the human health side, get an occasional
12 formulation study. But those are very rare and
13 relatively infrequent.

14 **DR. BARBARA PARSONS:** But not
15 genotoxicity data or rodent carcinogenicity data?

16 **DR. ANNA LOWIT:** Sometimes. Sometimes
17 we do. Sometimes we do, sometimes we don't. It's not
18 in the standard set.

19 **DR. BARBARA PARSONS:** But it seems like
20 a huge task for EPA to undertake trying to evaluate
21 all the formulations on your own.

22 **DR. ANNA LOWIT:** The issue is that in
23 an average year, we get about -- in an average year in
24 perpetuity -- because I know these numbers from

1 another project. In an average year, we get about 300
2 new formulations a year. And that's every year, and
3 for the last decade. And will continue that way.

4 Companies are regularly changing the
5 content of their formulations. The kind of testing, I
6 think, that you're thinking about is just not
7 feasible, given that kind of volume.

8 **DR. BARBARA PARSONS:** Thank you.

9 **DR. JIM MCMANAMAN:** All right. Thank
10 you. If we can move on now to the charge question.

11 **DR. ANWAR DUNBAR:** Okay. This is Dr.
12 Anwar Dunbar. I'm going to read Charge Question 3(c).

13 Unusually low incidences in concurrent
14 controls in comparison with historical controls were
15 noted in Lankas (1981), Stout, and Rueckerf (1990),
16 and Wood et al. (2009b), and considered as part of the
17 weight-of-evidence for tumor findings. Please comment
18 on the agency's use and interpretation of historical
19 control data as a line of evidence to inform the
20 statistical and biological significance of tumor
21 findings for glyphosate.

22 **DR. JIM MCMANAMAN:** Thank you. The
23 discussants on this are doctors Crump, Portier,
24 Ramesh, and Zeltermann. Dr. Crump is the lead

1 discussant.

2 DR. KENNY CRUMP: Good morning. Kenny
3 Crump. EPA, in their document, I think invoked
4 historical controls in three cases. And in each case,
5 they used the data to down-weight the statistical
6 analysis obtained, using concurrent controls.

7 I wonder, I guess, if that is done in
8 an unbiased approach. You can also use historical
9 controls in another way, but it was only using the
10 study just to down-weight the statistical significance
11 of the concurrent data.

12 I would suggest that EPA maybe
13 established guidelines for when not to use historical
14 control data and make it clear when they should be
15 invoked and when they shouldn't. And in at least one
16 case, it seems to me the interpretation of the
17 historical data seemed questionable.

18 In the case of Stout and Rueckerf, the
19 incidence of pancreatic cell tumors in the controls
20 was lower than in the -- concurrent controls and was
21 lower, in the historical controls. And that was used
22 to down-weight the overall carcinogenicity rating.

23 But on the other hand, the rate in
24 historical controls was below the overall rate of

1 tumors in the overall study; which could be
2 interpreted to suggest that perhaps, there was a
3 slight carcinogenic effect. Not that I would make
4 that interpretation, but I think it's certainly could
5 be made just as easily as the one that was made in the
6 document.

7 I like to remind you that EPA Cancer
8 Guidelines offer, I think, warnings about the use of
9 historical control data and mandate a careful review
10 of the historical control data to ensure that it is
11 incomparable to the concurrent data. And this is what
12 the EPA Guidelines say, I will quote.

13 "When historical control data are used,
14 the discussion should address several issues that
15 affect comparability of historical and concurrent
16 control data, such as genetic drift in the laboratory
17 strains, difference in pathology examination at
18 different times and in different laboratories, e.g.
19 criteria for evaluating lesions, variations and the
20 techniques for the preparation of reading of tissue
21 samples among laboratories and comparability of
22 animals from different suppliers."

23 And I didn't really see any evidence in
24 the document that such a careful review was carried

1 out. In one case, the case of malignant lymphomas in
2 male CD-1 mice, the Wood et al. study, I believe, the
3 historical control data did not come from the
4 laboratory that perform the study, relating to the
5 possibility of non-comparability to different
6 diagnostic criteria and different methods for
7 preparing reading slides in the different
8 laboratories.

9 Now, as the EPA Guidelines state,
10 random assignment of animals to groups, and proper
11 statistical procedures, provide assurance that
12 statistically-significant results are unlikely to be
13 due to chance alone. And I think that should be kept
14 in mind. To me, it's not really clear that the use of
15 historical control data, in the document, provided any
16 valuable information over that provided by the
17 statistical analysis of the concurrent data. And as
18 noted above, there are questions about the
19 appropriateness of the historical data used, and the
20 use to which it was put.

21 With regard to the use of historical
22 controls, the Cancer Guidelines state that historical
23 control data can add to the analysis of the data,
24 particularly by enabling of uncommon tumors or types

1 of high-spontaneous incidence of a tumor in a given
2 strain. However, the historical control data were not
3 used for either of these purposes in the document.
4 Instead, it was just used to suggest a low-spontaneous
5 incidence of a tumor in a given strain. I didn't see
6 that EPA Guidelines incurs that use of historical
7 control data.

8 I'd like to say, generally speaking,
9 statistically significant increases in tumors, that's
10 based on the concurrent data, should not be discounted
11 simply because incidence rates and concurrent controls
12 are somewhat lower than average. When historical
13 control data are used, the EPA Cancer Guidelines state
14 several issues that can affect the relevance of
15 historical control information, and they mandate a
16 careful review of the data.

17 "When historical control data are used,
18 the discussion should address several issues that
19 affect comparability of historical and concurrent
20 control data, such as genetic drift in the laboratory
21 strains, difference in pathology examinations in
22 different laboratories, et cetera."

23 And the most relevant historical data
24 come from the same laboratory and the same supplier,

1 and are gathered within a two or three years, one way
2 or the other, of the study under review; other data
3 should be used only with extreme caution. I did not
4 detect any evidence in the document that EPA had
5 conducted this careful review of the historical
6 control data that is mandated in this paragraph. If
7 such data were not available for performing such a
8 careful review, then perhaps, that in and of itself
9 should suggest that the historical control data should
10 not be used.

11 Because of the many factors that is
12 listed in the Cancer Guidelines that make the tumor
13 response and historical controls unlike that in
14 concurrent animals, historical control information
15 should be used very cautiously, if at all. As the EPA
16 Guidelines state -- I think this is most important --
17 random assignment of animals to groups and proper
18 statistical procedures provide assurance that
19 statistically-significant results are unlikely to be
20 due to chance alone. I think that should be the
21 driving force behind your evaluation.

22 Thank you.

23 **DR. JIM MCMANAMAN:** Thank you, Dr.
24 Crump. Dr. Portier.

1 **DR. KENNETH PORTIER:** Thank you. I
2 really appreciate EPA asking this question and it
3 actually got me thinking a lot about historical
4 controls that I haven't spent any time thinking about
5 before. And I agree with what Dr. Crump says, in
6 general.

7 First thing is, I'm going to put in my
8 report a reference to a current publication, a 2014
9 publication. I guess it's Pharmaceutical Statistics
10 2014, on the use of historical control data for
11 assessing treatment effects in clinical trials by V-I-
12 E-L-E, Viele et al. It's a really nice article and it
13 outlines six different ways that you can use
14 historical controls in a clinical trial setting.

15 Now, in human studies, we don't assume
16 human populations to have quite the genetic drift that
17 Dr. Crump was talking about. And in fact, I talked to
18 someone from Charles River Labs earlier in the week
19 and we talked about that. And he says these breeding
20 populations, even though they do a lot of work to try
21 to keep them genetically similar, they tend to drift.

22 The first thing that came to my mind is
23 that any historical controls you use need to be
24 temporally current. Going back 10 years, the use of

1 historical controls sounds to me a dangerous thing,
2 because I think that rat or mouse pool from which you
3 drew animals has probably changed, because you're
4 talking multiple generations already.

5 In this paper, the first option -- and
6 I'm not going to go through all of them -- but the
7 first option says don't use historical controls. It
8 says give weight of zero to historical controls.
9 Statisticians like this for exactly what Dr. Crump
10 says; if you did a good random draw from the rat
11 colony of your 300 or 400 animals from your study, and
12 then you did a good randomization to your treatment
13 and control groups, those sixty animals in your
14 concurrent controls should be your best estimate of
15 the robustness of that pool that you did that
16 experiment on. That seems, to us, the most powerful
17 comparison group.

18 Now, granted, that pool of animals in
19 that study may be, for whatever reason, you know, more
20 robust, fewer cancers. Less robust, more cancers.
21 But, you would expect all 300 or 400 animals that you
22 got in that draw to have similar characteristics, not
23 just the 60 that you did in your concurrent controls.

24 And if the 60 concurrent controls are

1 really different than the other treatment groups, you
2 did something in your randomization wrong. That was
3 the first thing I started thinking about. Like, why
4 would I go too much to historical controls? If you
5 did your experiment right, the concurrent controls are
6 good.

7 The second method is pooling. If you
8 have three or four experiments with animals from the
9 same lab, that have been done within say the last two
10 years, you could actually take their historical
11 controls and pool them with your concurrent controls
12 and get a better estimate of the background rate.

13 In the situation here, where the
14 concurrent controls were perceived to be low, and the
15 historical control seem to be higher, when you put
16 them together you're going to get an estimate that's
17 below what the last two or three historical controls
18 look like. It will bring your estimate up.

19 It also gives you more sample size,
20 right. You got now, a more powerful test of controls
21 against your treatments. And that's a situation where
22 you give a weight of one to your historical controls.
23 You're bringing them all in.

24 And then all the other four different

1 methods are somewhere in between where you give some
2 weight to the historical controls and maybe more
3 weight to your concurrent controls; and there are
4 different ways to do it. There's using priors, which
5 Dr. Zeltermann doesn't want to hear about. But there
6 are ways to do shrinkage estimators. That's the first
7 issue.

8 I think you need to look at that and
9 think about, you know, in your discussion, how you've
10 used it. The second thing is the way you've used it
11 in this document seemed wrong to me, when I started
12 thinking about it. You looked at the variability in
13 historical controls and then you looked at the point
14 estimate for the treatment and you compared the point
15 estimate for the treatment to the distribution in
16 historical controls.

17 In fact, the historical controls should
18 be your best estimate of long-term population
19 standards. That should be thought of as a point
20 estimate and the variabilities in your treatment
21 group.

22 You're kind of doing a one sample T-
23 test where your treatment group has the variability.
24 That's the sample. And your historical control rate

1 is your best guess of where the population centers. I
2 would think doing you test the other way around would
3 be more statistically supported, to say okay, 8
4 percent is my historical, what's the likelihood that
5 Treatment 1 significantly differs from 8 percent,
6 given the variability I've gotten?

7 I think you did -- even though they
8 didn't do a formal, they should've done it the other
9 way around. Okay. And I think that's the other stuff
10 Dr. Crump mentioned, that I've already mentioned, so I
11 don't need to go into that.

12 **DR. JIM MCMANAMAN:** Thank you, Dr.
13 Portier. Dr. Ramesh.

14 **DR. ARMANDLA RAMESH:** I agree with Dr.
15 Crump and Dr. Portier. With regard to using
16 concurrent controls and historical controls, if we
17 face a situation when the tumor instance in concurrent
18 controls is lower than that of historical controls,
19 how are we going to interpret the results from a
20 biologically significant test, 10 point? That is an
21 issue that needs to be taken into consideration by
22 EPA.

23 **DR. JIM MCMANAMAN:** Thank you, Dr.
24 Ramesh. Dr. Zeltermann.

1 **DR. DANIEL ZELTERMAN:** Let me say I do
2 agree with Dr. Portier, and I'm going to go even more
3 extreme than Bayesian. We frequently use historic
4 controls in clinical trials where it's very expensive.
5 And we are using them when you actually design a
6 study.

7 In order to estimate what are the
8 appropriate exposure levels, you are thinking, well,
9 what's the background rate? And even more importantly
10 than using the historic controls, were actually using
11 Bayesian methods. We're saying, well we've seen
12 studies like this before, with maybe compounds like
13 this before, and what did we do the last time we saw
14 this.

15 Well, these are the doses we used. All
16 right. We are actually Bayesians behind, perhaps not
17 admitting it, that we're using a lot of Bayesian
18 methods. Yes, they're sometimes subjective, but as
19 Dr. Portier points out, you can often view them as
20 say, there's a number of virtual controls that we
21 don't have where we give the controls a different kind
22 of weighting.

23 You're going to use the prior knowledge
24 of the studies when you sign the doses. If you don't,

1 let's take an extreme case, we're not going to use
2 historic controls. We're not going to think about
3 anything that happened before. We're going to
4 reinvent the wheel. We're going to pretend we've
5 never done mouse studies before. That seems absurd.
6 You're not going to talk like that. You are going to
7 use historic controls.

8 There was an enormous study, Dr. Green
9 and I were talking about, the big Megamouse study.
10 This was something that was done in the '70s, involved
11 tens of thousands of mice. Okay. Dr. Portier, it's
12 not concurrent, but these are tens of thousands of
13 mice and they were looking for unusual cancers.

14 They were looking for all sorts of
15 unusual things that don't occur very often, and you do
16 need tens of thousands of mice to see this background
17 rate. Are we going to throw all that away? No. You
18 really are using historical controls, but we have to
19 admit it.

20 Now I want you to go a little bit
21 further and use some Bayesian methods to include and
22 incorporate the historic controls.

23 **DR. JIM MCMANAMAN:** Thank you, Dr.
24 Zelterman. Okay. This charge question is open to the

1 rest of the panel. Dr. Green.

2 **DR. LAURA GREEN:** In response to the
3 charge question language, please comment on your use
4 and interpretation. I would urge you not to use the
5 historical control data in any of these three
6 instances; for important biological and then
7 ultimately statistical reasons. Biologically, of
8 course, the Lankas bioassay and the Stout and Rueckerf
9 bioassays used the Sprague Dawley rat, which of course
10 is an outbred species. It's the reason we don't use
11 it anymore in cancer bioassays. It's a good reason.

12 Now obviously, people are outbred
13 species too, so in some sense, the data are reliable
14 in that sense, but obviously, you don't want to use
15 old data from outbred groups. I mean, that's just
16 really playing with fire.

17 I'd also say your use of them, when
18 you're dealing with individual studies, strikes me as
19 just plain unnecessary. Because as we've been urging
20 you -- since we have this unusually fortuitous
21 circumstance where we have any experiments including
22 in the same species and strain -- we have been urging
23 you to simply, when you report each study, just report
24 the data. Do not interpret it beyond statistics.

1 Following what Dr. Parsons was saying,
2 just file statistical guidelines and use the trend
3 test, and the P value is going to be the P value using
4 the concurrent controls. It seems to us, given the
5 opportunity to have replicate experiments, it is when
6 you combine those replicates, it is then that you may
7 want to comment on what the datasets, as a whole,
8 looks like.

9 For example, it is only once Stout and
10 Rueckerf use much higher doses of glyphosate than
11 Lankas had used, and failed to find Leydig cell tumor
12 increases, that then obviates your need to even bring
13 up historical controls in Lankas. Because the only
14 reason you brought him up is the data looked a little
15 positive. In fact, it looked very positive. the trend
16 test was not .009, as I recall. Although I don't know
17 if it was the right trend test.

18 But the point is, once it replicated at
19 massively higher doses instead of 31 mg per kilogram,
20 the high dose was over a gram per kilogram, and you
21 don't see anything; well, then, the second test failed
22 to replicate the first findings so you're done. It
23 seems to us it only gets you into trouble and it's
24 unnecessary. Or, at least, it seems to me.

1 **DR. JIM MCMANAMAN:** Thank you, Dr.
2 Green. Dr. Crump.

3 **DR. KENNY CRUMP:** I had a follow-up
4 question for Dr. Zelterman. I'm not really clear on
5 the example you presented in human data it's quite
6 analogous to what we have here. It's my understanding
7 the reason -- you did not have a control data, so you
8 have to use historical controls. I understand that
9 was probably to save money, that you did not have
10 concurrent controls.

11 But suppose you did have enough money,
12 and suppose you did have a proper concurrent control
13 group, would you continue to use historic controls?
14 And how would you use them?

15 **DR. DANIEL ZELTERMAN:** Well, you're
16 presupposing I had more money. How much more do I
17 have?

18 **DR. KENNY CRUMP:** Enough to get a
19 control group equally as large or larger than your
20 exposed group.

21 **DR. DANIEL ZELTERMAN:** Okay. I did.
22 Here's the data. Here's the data. But isn't it
23 bothering you a little bit that those controls don't
24 look like the controls we saw a year ago, in another

1 study? Does that bother you that those controls have
2 a very different rate?

3 **DR. KENNY CRUMP:** Well, I'm not sure.
4 But I'm asking a little bit of a different question.
5 Suppose you had developed a concurrent control group,
6 how would you use, or would you use, historical
7 controls and how would you use them?

8 **DR. DANIEL ZELTERMAN:** Oh. Very
9 easily. Here's how a good Bayesian would do it. You
10 have your controls, but then you have, if you like
11 virtual controls, say something is the background rate
12 of say, 1 percent. You can say I have a virtual set
13 of 100 mice, of which one developed a tumor in the
14 control group. And then I would take these 100
15 virtual mice and add that to the dataset and that's
16 the Bayesian analysis. That's all there is to it.
17 You just said I had a virtual set of 100 mice.

18 Now somebody may say, well, the real
19 way to do that, well, is, I don't really have 100.
20 I'm not that sure of 100. Maybe I only have 50 mice
21 and then counted as, so help me, half a tumor. And
22 just run the mathematics through. There were 50 mice
23 and half a tumor, or I could do 10 mice and one tenth
24 of a tumor.

1 You realize when I'm adding this, I'm
2 not changing things very much. I could've said one
3 mouse and one hundredth of a tumor and nobody would
4 argue that that's going to change the analysis at all.
5 I mean, that's the interpretation. That's the way it
6 would be done. Unless Dr. Portier has a better way.

7 **DR. KENNETH PORTIER:** No. I was just
8 sitting there thinking, you know, we focus on
9 controls, but I'm more interested in the treatment
10 groups and what all this says about the treatment
11 groups. And I understand the Bayesian example, that's
12 beautiful, actually. It's a great way to think about
13 how you would pool in additional data.

14 But would you do that for the other
15 groups or do you assume they're okay? They actually
16 do follow the population group. And it's only
17 randomization produced a slightly weird control group.
18 Is that what you're kind of worried about?

19 I mean, when you say I worry that the
20 concurrent controls are low, what are you really kind
21 of concluding there about the experimental design?
22 You're just saying fate was against me when I
23 randomize these and I got all 60 zeros, when I
24 should've seen at least one or two, right?

1 DR. DANIEL ZELTERMAN: Yes.

2 DR. KENNETH PORTIER: That's kind of
3 what you're thinking of.

4 DR. DANIEL ZELTERMAN: That's right.

5 DR. KENNETH PORTIER: So let me throw a
6 wrench into this. Let's take these 300 animals that
7 you got from Charles River, you assigned them random
8 groups and by fate you got 60 controls that got zeros.
9 Suppose there's no treatment?

10 Now, from those 300 animals and say the
11 background was 10 percent, I should've seen 30 tumors.
12 Now, none of those 30 tumors are in control, right?

13 DR. DANIEL ZELTERMAN: Right.

14 DR. KENNETH PORTIER: So they're in the
15 other treatment groups. That means my expectation is
16 that all these other treatment groups are going to be
17 higher than control, right. Because I've now got 30
18 tumors spread over 180 animals, or whatever it was,
19 240 animals; whereas before it was 30 tumors over 300.
20 I took the 60 controls out. This is what was driving
21 me crazy. Like, well, what does that mean for our
22 tests? Had you thought about that?

23 DR. DANIEL ZELTERMAN: Well, yeah,
24 that's hard. And yes, it's unfortunately, academic.

1 The chances of that happening are small. In a
2 lifetime, an agency's lifetime of examining one study
3 after another, the chances of this happening are
4 pretty small. That's where statistics works in our
5 advantage. Whereas, you're going to be looking at
6 many, many studies and this sort of thing won't happen
7 very often. There you're saved.

8 **DR. KENNETH PORTIER:** So still playing
9 the devil's advocate. So now what we're saying is
10 that batch that came really has a lower rate, right.
11 So instead of seeing 30, I probably might've seen 15.
12 But the problem is still there. You've kind of raised
13 the historical control rate that these things are
14 still -- I don't know. I haven't figured it all the
15 way through.

16 This is why we don't want to go there.
17 You know, the more I think about it, why I don't want
18 to go too far into really thinking hard about
19 historical control, is because they mess up your
20 statistical thinking about how valid these tests are
21 when you say I'm going to deal with the 300 animals.

22 There are 300 animals coming from one
23 population. And the differences I see are due to the
24 treatments because I randomize everything else. I'm

1 good. You know, statistically, I'm happy and I like
2 the results. But the minute you start messing around
3 with one group versus the other group, a lot of the
4 inference tends to fall apart. And Dr. Sheppard, you
5 kind of agree with that?

6 **DR. DANIEL ZELTERMAN:** Yeah, I think
7 they're saying yes.

8 **DR. JIM MCMANAMAN:** Okay. That was Dr.
9 Portier and Dr. Zelerman, a discussion between those
10 two, since they didn't identify themselves. And if
11 any of the panel members have a disagreement with the
12 consensus that you shouldn't use historical controls,
13 please speak up. If not, then I think we'll move on
14 to the next charge question.

15 Dr. Johnson. On topic.

16 **DR. ERIC JOHNSON:** Yes. What I would
17 like to know is when historical controls are used,
18 whether there is data on the historical controls that
19 can be used to compare the current controls to see
20 whether these controls are different in
21 characteristics like body weight or other parameters.

22 That would certainly help.

23 **DR. JIM MCMANAMAN:** Yeah. That would
24 provide some validation for the use of historic

1 controls.

2 DR. KENNETH PORTIER: That's Method No.
3 4: Test and Pool.

4 DR. JIM MCMANAMAN: Right.

5 DR. KENNETH PORTIER: You test and then
6 you say well, if it doesn't look like they're that
7 different, let's go ahead and pool them to get a
8 better estimate. I feel slightly better about that
9 approach.

10 DR. JIM MCMANAMAN: All right. Okay.
11 Any further clarification?

12 DR. ANNA LOWIT: No. We heard
13 consensus.

14 DR. JIM MCMANAMAN: Okay. All right.
15 Charge 3(d).

16 DR. ANWAR DUNBAR: This is Dr. Anwar
17 Dunbar. I'll be reading Charge Question 3(d). Please
18 comment on the agency's conclusion that there is an
19 absence of corroborating preneoplastic lesions or
20 related non-neoplastic lesions. Please also comment
21 on the agency's conclusion that there is a lack of
22 progression to malignancy to support tumor findings.

23 DR. JIM MCMANAMAN: Okay. The
24 discussants on this are doctors Parsons, Ehrich,

1 Ramesh and Sobrian. Dr. Parsons is the lead.

2 **DR. BARBARA PARSONS:** So let me start
3 by saying Dr. Ehrich is not here, and she gave me her
4 comments to read on this. I could either read them
5 right now or you need to remind me at the end because
6 I will forget.

7 **DR. JIM MCMANAMAN:** Okay. Well, I'll
8 remind you. Why don't you give your comments first?

9 **DR. BARBARA PARSONS:** Okay. I think
10 the document didn't adequately describe the process
11 that was used for the evaluation of pre and non-
12 neoplastic findings. I would've liked to see, you
13 know, some written description of the approaches --

14 **DR. JIM MCMANAMAN:** Dr. Parsons, your
15 soft voice is making it hard for us.

16 **DR. BARBARA PARSONS:** Sorry. I
17 would've liked to have seen some written, you know,
18 detailed description of what that analysis entailed.
19 And that would've made it easier for us to go and look
20 at the same study documents and, you know, reproduce
21 your findings or not.

22 In order to provide informed comment on
23 the potential relevance of preneoplastic lesions, as
24 is requested by this charge question, as well to

1 investigate bioassay reproducibility, myself and Dr.
2 Sobrian analyzed the primary study documents. But
3 questions remain as to the procedures that were used
4 to evaluate preneoplastic lesions.

5 Did the EPA consider only lesions
6 mentioned in the summary reports, or was there a
7 predetermined process to go through the individual --
8 I mean, because the study reports have, you know,
9 hundreds of descriptions and counts of preneoplastic
10 lesions. There's lots of ways to approach it and it
11 just would be helpful to know what was done.

12 Generally, the report says there were -
13 - that's not correct. For the most part, when the
14 document describes or refers to preneoplastic lesions,
15 it's in the context of downgrading specific
16 significant responses, and it gives the overall
17 impression that no preneoplastic lesions were
18 observed. No statistically significant increases in
19 preneoplastic lesions are contained in these
20 documents. That's the impression that I got from
21 reading it. And I think that's not entirely correct.

22 The Brammer study of Wistar rats, for
23 example, "observe changes in liver, which comprised of
24 treatment-related increased incidence of hepatitis and

1 increased" -- this is a quote from, I guess, the study
2 document. "-- increased incidence of hepatitis and
3 increased incidence, but not severity, of
4 proliferative cholangitis in males apparent at 12
5 months as well as at the end of the study." And I
6 won't read the rest.

7 There was a significant increase in
8 lymphocytic hypoplasia of the thymus, observed in
9 female Sprague Dawley rats, exposed to 11 and 34 mg
10 per kilogram per day, relative to control. And that
11 is from the Lankas study. There are increases in
12 lymphoid hyperplasia observed in female CD-1 mice. I
13 have the numbers here. Apparently, that was pulled
14 from the primary study report. This is in the study
15 of Atkins on CD-1 mice.

16 And one study reported both significant
17 induction of a lymphoid hyperplasia and malignant
18 lymphoma in the same study. Specifically, a
19 significant lymphoid hyperplasia was observed at the
20 low and mid doses in male CD-1 mice. That 71 and 234
21 mg per kilogram per day, in a study where malignant
22 lymphomas were significantly induced that 810
23 milligrams per kilogram body weight per day. That's
24 the Wood study, which had a trend test for malignant

1 lymphomas of 0.007.

2 I would also like to point out that in
3 at least two studies, there seems to be an inverse
4 relationship between dose and the incidence of
5 preneoplastic lesions. The Atkins study of Sprague
6 Dawley rats, for instance, there was a significant
7 decrease in kidney hyperplasia observed in female
8 rats. And in the Knezevich and Hogan study of CD-1
9 mice, there was actually -- and then this is a quote:

10 "There was actually a decrease in renal
11 tubular epithelia changes, basophilia and hyperplasia
12 in males. And although there was a dose-related
13 increases in these changes in females, no tubular
14 neoplasms were observed in females." I think this
15 quote may come from Greim.

16 As I said, EPA document gives the
17 impression that no treatment-related induction of
18 preneoplastic lesions were observed. They were, but
19 overall, I do agree that there does seem to be a
20 dearth of preneoplastic findings in the studies in
21 which there were significant tumor responses. I
22 believe this is consistent with interpretation that
23 glyphosate is non-genotoxic and does not cause de novo
24 preneoplastic lesions during treatment.

1 This conclusion doesn't contradict the
2 hypothesis that glyphosate could be a weak monogenic
3 toxic carcinogen; one that causes the outgrowth of
4 pre-existing spontaneous lesions. To me, it makes
5 some biological sense that there may be observations
6 of dose-related increases of preneoplastic lesions in
7 some studies, and dose-related decreases in
8 preneoplastic lesions in studies where there is a
9 significant tumor response.

10 Do people understand what I'm getting
11 at here?

12 **DR. JIM MCMANAMAN:** Do you have any
13 additional --

14 **DR. BARBARA PARSONS:** Yes. I think I
15 will stop there.

16 **DR. JIM MCMANAMAN:** Thank you, Dr.
17 Parsons. Dr. Ramesh.

18 **DR. ARMANDLA RAMESH:** I agree with what
19 Dr. Parsons had mentioned. The absence of
20 preneoplastic lesions when compared to the tumor
21 responses, it supports the view that glyphosate is not
22 a carcinogen or compound of considerable carcinogenic
23 potential, because we did not see any treatment-
24 related lesions.

1 In some cases, it may have contributed
2 to the progression of pre-existing lesions, but that
3 frequency is very low. I agree with the
4 interpretation of the Agency with regard to
5 preneoplastic lesions.

6 **DR. JIM MCMANAMAN:** Thank you, Dr.
7 Ramesh. Dr. Sobrian.

8 **DR. SONYA SOBRIAN:** I agree with what
9 has been said, but to directly answer the question,
10 "Please comment on the agency's conclusion that there
11 is an absence of corroborating neoplastic lesions or
12 related non-neoplastic lesions," it's not clear what
13 data the Agency used to come to this conclusion.
14 There are no summary tables in the White Paper. And
15 that really would've been helpful.

16 That meant that we had to go through
17 the source documents, which we did. First of all, it
18 was unclear what we're looking for, so most of us
19 chose hyperplasia. And there are five -- from going
20 through the source documents -- I found five rat
21 studies, and they're all listed in here, in which
22 there was a significant change in hyperplasia. But in
23 only one study, Suresh's (1996) did the hyperplasia
24 goes on in the same tissue to produce a tumor.

1 Okay. There isn't a lot of evidence
2 from the rat studies. And with the mouse studies,
3 there are only two that found significant increases in
4 hyperplasia, and none of those were in the same
5 tissue. While it's difficult to tell what the Agency
6 based its conclusion on, if you go through the source
7 data, there's very little evidence for a progression
8 from neoplastic to tumors.

9 **DR. JIM MCMANAMAN:** Thank you, Dr.
10 Sobrian. Dr. Parsons is now going to read in Dr.
11 Ehrich's comments.

12 **DR. BARBARA PARSONS:** "With human
13 exposure, less than 7 mg per kilogram per day, and
14 animal test with greater than 1,000 mg per kilogram
15 per day, toxicity data in the high dose animals lacks
16 real-world relevance."

17 Wait a minute. It's the wrong one.

18 **DR. JIM MCMANAMAN:** Yeah.

19 **DR. BARBARA PARSONS:** She circled it.
20 Okay. I'm sorry. 3(e), right?

21 **DR. JIM MCMANAMAN:** 3(d).

22 **DR. BARBARA PARSONS:** Oh, okay. 3(d)
23 is at the bottom after (f).

24 **DR. JIM MCMANAMAN:** That's how Marion

1 thinks.

2 **DR. BARBARA PARSONS:** I'm sorry. "The
3 Agency did due diligence in review of available
4 information. Studies were done that included
5 histopathological examination of laboratory animals
6 before the end of long-term experiments.
7 Preneoplastic lesions should've been noted then, and
8 in animals whose tissues were collected at the end,
9 especially when some in the group had neoplastic
10 lesions."

11 **DR. JIM MCMANAMAN:** Okay. Thank you.
12 I'll open this charge question to the rest of the
13 panel. Yes, Dr. Taioli.

14 **DR. EMANUELA TAIOLI:** I think this
15 aspect of being a promoter instead of genotoxic, it
16 has to be reviewed by the epidemiologist as well.
17 Because if that's the train of thought, then a lot of
18 our discussions about adjusting for other pesticides,
19 or smoking, it's really less relevant. Because if
20 it's a promoter on some other genotoxic agents, then
21 we should look at interactions among a genotoxic
22 exposure and this exposure.

23 We need to give this aspect some weight
24 in our thinking, because it may change a lot of the

1 focus, at least in my mind, of what we are thinking
2 about with the epi studies.

3 **DR. JIM MCMANAMAN:** Thank you, Dr.
4 Taioli. Other comments?

5 Dr. Portier.

6 **DR. KENNETH PORTIER:** Well, I just
7 wanted to make very clear that that's what we're
8 saying because this is a big part of the biological
9 plausibility argument when we get to Question 5. What
10 I'm hearing is that what you're seeing, the signal
11 you're seeing, in the preneoplastic data, or lack
12 thereof, makes it biologically plausible that this is
13 less an initiator cancer and more of a promoting
14 agent. I mean, that's what I heard. I just wanted to
15 confirm that. Do you guys agree to that?

16 **DR. JIM MCMANAMAN:** That was Dr.
17 Portier. Yes, Dr. Parsons?

18 **DR. BARBARA PARSONS:** If I may, you
19 know, the study documents do make the point that what
20 they are seeing are increases in common spontaneous
21 tumors. Spontaneous mutations are fairly frequent.
22 And your chemical, if it's a promoter, it's going to
23 grow those out, they're going to develop and tumors.
24 It's going to occur at different rates depending on

1 the genetic susceptibility of the rodents. But is not
2 going to be inducing more preneoplastic lesions
3 constantly during the treatment.

4 It's not what you would expect to see
5 for genotoxic carcinogen. I mean, that's what you
6 would expect to see for genotoxic carcinogen.

7 **DR. JIM MCMANAMAN:** Thank you, Dr.
8 Parsons. Dr. Taioli.

9 **DR. EMANUELA TAIOLI:** Not really maybe
10 for now, but what about the in vitro studies were
11 promotion? Because we only look at genotoxic, so
12 that's for later.

13 **DR. JIM MCMANAMAN:** Well, as far as I
14 know they weren't conducted. They weren't presented,
15 at least. For now, I think we can come back to this
16 general question when we come to Question 5, and come
17 back and explore this a little deeper there. But for
18 now, related to Question Charge 3(d), I think that we
19 have to stay on this question.

20 Dr. Johnson.

21 **DR. ERIC JOHNSON:** So the issue of
22 whether glyphosate causes cancer by means other than
23 through a genotoxic effect, was of some concern.
24 However, in the absence of two-stage experimental data

1 on initiation and promoter, first, I know, those
2 experiments have not been done. And if they've not
3 been don, I don't think we should consider glyphosate
4 as a promoter in interpreting our data.

5 **DR. JIM MCMANAMAN:** Thank you, Dr.
6 Johnson. Dr. Parsons, did you have a comment in
7 response to that?

8 **DR. BARBARA PARSONS:** Just that I
9 believe there was one initiation promotion study done
10 in rodents. And I believe it was one of those removed
11 from evaluation by the Agency because it was
12 inadequate. I don't remember any details beyond that,
13 except that it was positive.

14 **DR. JIM MCMANAMAN:** So perhaps, when we
15 get to Charge Question 5, we can come back to that and
16 make a recommendation that we look into that.

17 Okay. Any other comments related to
18 this charge question?

19 Okay. Hearing none, I'll go back to
20 the Agency.

21 **DR. MONIQUE PERRON:** Nothing at this
22 time. This is Monique Perron. Thank you.

23 **DR. JIM MCMANAMAN:** All right. Thank
24 you. Okay. Charge Question 3(e).

1 **DR. ANWAR DUNBAR:** This is Dr. Anwar
2 Dunbar. I'm going to read Charge Question 3(e). In
3 the case of glyphosate, there are multiple
4 carcinogenicity studies available for the evaluation
5 of carcinogenic potential.

6 The Agency looked across all of the
7 studies and found that tumor findings were not
8 consistent or reproduced in other studies conducted in
9 the same species and strain at similar or higher
10 doses. Please comment on the interpretation of
11 conflicting evidence and reproducibility for these
12 studies.

13 **DR. JIM MCMANAMAN:** Okay. The
14 discussants on this are doctors Green, Ehrich,
15 Parsons, Portier, Ramesh, and Zelterman. I don't know
16 why the rest of us weren't asked.

17 I think we'll start with Dr. Green as
18 the lead discussant.

19 **DR. LAURA GREEN:** Thank you. Just
20 following up on the last question, Dr. Parsons, the
21 study to which you allude is George et al. (2010).
22 That's titled, "Studies on Glyphosate-Induced
23 Carcinogenicity in Mouse Skin: A Proteomic Approach.
24 And it's in J. Proteomic, Volume 73, pages 951-964.

1 And you're quite correct, the Agency
2 discuss it in passing and what they said -- and I have
3 not looked at George et al. myself, so I do not know
4 whether the Agency summary is adequate or not. What
5 the Agency says on page 70 of its document is, "An
6 initiation promotion study, George, et al. (2010), in
7 male Swiss mice that tested a commercial formulation
8 of glyphosate (41 percent), on the skin." Oh, that's
9 not a full sentence. Well, whatever.

10 "Study deficiencies included a small
11 number (20) of animals tested, only males, and a lack
12 of histopathological examination. Well, so a), I
13 don't quite understand the sentences, but b) the
14 Agency did look at it. They don't note whether those
15 small number of animals showed positive results or
16 not. I would caution the obvious, which is if the
17 small number of animal, nonetheless, showed a positive
18 response, it's still a meaningful study.

19 If the small number of animals showed a
20 non-positive response, obviously, its probative value
21 is limited by its small size. At a minimum, this
22 reader -- who by the way, did not read page 70 prior
23 to right now -- this reader would've liked the Agency
24 to add an additional sentence to talk about whether

1 the study was apparently positive or apparently non-
2 positive.

3 **DR. JIM MCMANAMAN:** Thank you, Dr.
4 Green. I guess we have Dr. Ehrich's comments. We'll
5 save those until the end.

6 Dr. Parsons.

7 **DR. MARION EHRICH:** Yeah, I'm on the
8 phone, Jim.

9 **DR. JIM MCMANAMAN:** Oh, okay. Well,
10 sorry, Marion.

11 **DR. MARION EHRICH:** I'm on the phone.
12 On this one, having worked with pathologists a lot,
13 they did due diligence and they did do these
14 histopathological lesions. Preneoplastic lesions
15 should've been seen during the time courses of some of
16 those experiments that are in the end, in some of the
17 animals. And the sample size is very small. It's
18 really not unusual, especially in longer-term studies
19 as the animals age, to actually have occasional
20 lesions that are just background noise.

21 When EPA kind of discounted them, I
22 thought that was actually appropriate.

23 **DR. JIM MCMANAMAN:** Is that it, Marion?

24 **DR. MARION EHRICH:** Yeah, that's it. I

1 only have short comments.

2 DR. JIM MCMANAMAN: All right. Thanks,
3 Marion.

4 Dr. Parsons. We're on 3(e).

5 DR. LAURA GREEN: No, I was finishing
6 up on (d), I hadn't actually started on (e) yet.

7 DR. JIM MCMANAMAN: But we have to be
8 on 3(e) or there's complete confusion on what's going
9 on.

10 DR. LAURA GREEN: Right. I'm about to
11 talk about 3(e); I just haven't done it yet.

12 DR. KENNETH PORTIER: We understood it,
13 Jim, you didn't.

14 DR. JIM MCMANAMAN: Well, I thought we
15 ended 3(d). Okay. So, 3(e). If we can stay on
16 topic, that would be very helpful in keeping the poor
17 transcribers -- okay. So, 3(e), Dr. Green.

18 DR. LAURA GREEN: Yes. Sorry. I meant
19 only to say that I had something else to say about
20 3(d), which I had just finished saying.

21 On 3(e), I think you've already heard
22 our answer. We would very much prefer if you would
23 present each study individually, without much
24 interpretation beyond just the standard statistics.

1 And then when you get to combining the studies, we
2 would recommend that you combine the studies according
3 to species and strain; noting any differences, such as
4 which studies went only for 18 months and which one
5 for 24 months and which one for 26 months.

6 I mean, there are details, but
7 nonetheless, we recommend that at the end of
8 discussing each of the 15 studies, you have tables or
9 graphs, whatever you like, that show at once, the
10 results in the Sprague Dawley rat, the Fisher rat, the
11 Swiss mouse, the CD mouse, et cetera. And it seems to
12 us, based on what the statisticians have been saying,
13 principally, that when you do that, you will find
14 overall that the study replicates failed to find the
15 same positive results.

16 You will find that your overall
17 conclusion, which is taken as a whole, the evidence as
18 a whole, from the 15 bioassays, fails to confirm
19 carcinogenicity. We believe that you will, in fact,
20 corroborate your conclusion in a more systematic way.

21 **DR. JIM MCMANAMAN:** Okay. Thank you,
22 Dr. Green. Dr. Parsons.

23 **DR. BARBARA PARSONS:** No, Dr. Ehrich.

24 **DR. JIM MCMANAMAN:** Dr. Ehrich has

1 already -- she was commenting on 3(e).

2 **DR. BARBARA PARSONS:** No. I think she
3 was commenting on 3(d).

4 **DR. JIM MCMANAMAN:** Oh, really? How
5 can we end something and then still have comments
6 going backwards?

7 I'm sorry. I hope that the
8 transcribers figured that out because Steve and I were
9 completely flummoxed by this. Okay. Marion, do you
10 have something to say on 3(e)?

11 **DR. MARION EHRICH:** 3(e)?

12 **DR. JIM MCMANAMAN:** Yes.

13 **DR. MARION EHRICH:** Yes. It's
14 difficult to deal with conflicting evidence and
15 reproducibility. They did not ignore such evidence as
16 it was presented. But it's hard to draw a conclusion
17 so they said the data are inadequate, and seems
18 appropriate. And that's all I have to say on this.

19 **DR. JIM MCMANAMAN:** All right. Thank
20 you, Marion. Dr. Parsons, are you ready now?

21 **DR. BARBARA PARSONS:** I am. I think
22 the document ascribes equal weight to the 15
23 acceptable rodent carcinogenicity studies. It states
24 that tumors seen in individual rat or mouse studies

1 were not reproduced in other studies conducted in the
2 same animal species and strain at similar or higher
3 doses.

4 But in order to judge whether or not
5 this conclusion is valid, and the lack of
6 reproducibility should be given more weight than the
7 positive tumor findings, one has to consider whether
8 the studies were of similar quality. Did they employ
9 rodents with equivalent tumor sensitivities; and
10 whether equivalent tumor incidence data were analyzed
11 in a consistent manner.

12 My review of the primary study document
13 suggests that the studies varied greatly with respect
14 to these criteria. A major concern regarding the
15 conclusion that tumor findings are not consistent,
16 relates to the fact that the studies vary in terms of
17 design and quality in ways that are expected to impact
18 their sensitivity.

19 For example, the study by Lankas
20 treated rats for 26 months, which to my mind, could
21 very well explain why they detected a tumor response
22 that was not detected in other studies, which only
23 treated rats for 24 months. I don't see how someone
24 can rule out that possibility. The Stout and Rueckerf

1 study, generated statistically significant responses
2 for three different tumor types.

3 This study may have had greater
4 sensitivity than the others because it employed 60
5 rats for treatment group compared to 50 in most of the
6 study. And just as an aside, the glyphosate document
7 itself says other observations can strengthen or
8 lessen the significance of tumor findings in
9 carcinogenicity studies, such factors include -- and
10 one of those factors is tumors at multiple sites. I
11 think that weighs into the weight-of-evidence for the
12 Stout and Rueckerf study.

13 Across mouse studies, mice were exposed
14 through the diet for between 16 and 24 months. The
15 mouse study by Rayner and Gordon sacrificed males
16 after 16 months and females after 18 months. And most
17 importantly -- I've checked this a few times -- it
18 included histopathological analyses on only 10 mice
19 per dose group. This study really has much less
20 sensitivity than the rest. I think it's not
21 appropriate to really even group it with the rest and
22 say, you know, there are 15 studies that disagreed
23 with each other.

24 Clearly, this study should not be

1 weighted as heavily as those where there are these
2 histopathology results from 50 animals per sex dose.
3 Some of the studies had low survival at terminal
4 sacrifice, less than 20 animals per group, which is
5 also expected to reduce the sensitivity.

6 The study by Pavkov and Wyand and the
7 study by Pavkov and Turnier, they employed sulfonate
8 and propylene glycol as a vehicle. These two studies
9 used different test article. Are they reproductions
10 of these other studies? To my mind, they are not.

11 And again, in the Pavkov and Turnier
12 study, the males in the zero-ppm treatment group were
13 sacrificed at 89 weeks of treatment, whereas the other
14 treatment groups were sacrificed after 95 weeks. I
15 mean, these are small things, but there are a lot of
16 these. Or maybe they're not small things.

17 Again, I struggle with -- it's not
18 clear to me how the tumor responses were
19 systematically examined by EPA. But I think I'll just
20 skip over this point. It's not clear whether
21 histopathological examinations were performed in an
22 equivalent manner across studies. The rat bioassay,
23 by Suresh, did not include histopathological analyses
24 on all the low and mid-dose rats at terminal

1 sacrifice. And it also reported that autolysis
2 precludes its evaluation of many samples.

3 Thus, there are many differences in the
4 study quality that could account for the lack of
5 consistent statistical significance in the bioassay
6 results. And these should at least be discussed in
7 the document because they weigh against the argument
8 that the significant, but irreproducible, tumor
9 responses must be due to chance rather than glyphosate
10 treatment.

11 And I'd like to take a little time to
12 make a point about how much genetic variability there
13 is across the same strain of rodent, used in these
14 different studies. I did this to educate myself, but
15 I'd like to share it with you.

16 Rodent strains maintained in a separate
17 breeding colonies for extended period of times, as
18 we've heard from Dr. Portier, do not necessarily have
19 the same spontaneous tumor profiles. I have a
20 reference here, King-Herbert and Thayer. King-Herbert
21 and Thayer -- toxicological pathology (2006).

22 This is the basis of the OCED
23 recommendation that only studies performed within five
24 years in the same laboratory should be considered as

1 historical controls. In an attempt to get a sense of
2 the amount of variability among the rodents used
3 across the studies that we're evaluating, I just pick
4 the incidence of a single tumor type and compared it
5 across studies.

6 I read somewhere that pituitary tumors
7 were a common, spontaneous tumor in these rodents.
8 And it was not a tumor that was implicated as
9 potentially having a glyphosate response, so I just
10 picked that one pretty much at random.

11 I'm going to give you, for control
12 Sprague Dawley male rats, the frequency of pituitary
13 tumors in some of these studies were 40, 56, 58, 70,
14 and 52. So that range there was 40 to 70 percent. I
15 have all the numbers, but I'm just going to give you
16 that range for the rest.

17 In control female Sprague Dawley rats,
18 the range was 76 to 94 percent. Control Wistar rats,
19 the range is between 6 and 34 percent. In females,
20 it's 16 and 80 percent. In control CD-1 mice,
21 pituitary tumors range between zero and 64 percent.
22 That was for the males. And it's actually the same
23 for females, zero and 64 percent.

24 This suggests that even within

1 particular rodent species, there can be relatively
2 large differences in background tumor incidences,
3 which are likely to impact the detection of
4 statistically significant findings. If you start out
5 with a high background level spontaneous mutations,
6 your chemical -- no one is saying that it is a strong
7 promoter, not even a strong promoter, a weak promoter.
8 But we're talking about the increased incidence of
9 relatively small numbers of tumors. If you have a
10 higher background, you're just not to be able to see
11 that.

12 The other point is that when I reviewed
13 the study documents, the toxicological findings
14 themselves are really quite different in these
15 reports. I think if you put these in front of me and
16 mix them with others, I couldn't tell you which one
17 were the glyphosate ones. They really read quite
18 different.

19 These also varied across different
20 tumor bioassays and provide additional evidence, that
21 biological or mythological variability in the studies
22 conducted in the US, the UK, Japan and India between
23 1973 in 2009, they're just going to have a lot of
24 variability.

1 I believe the combination of rodent
2 genetics, bioassay methodologies, including the number
3 of rodents analyzed, statistical analyses, what
4 specific data was analyzed and toxicity, which is
5 going to vary determined on what doses were selected
6 in a particular study, those are all expected to
7 contribute to the lack of consistently significant
8 findings across studies. I don't give this much
9 weight, the lack of consistent findings in in my
10 evaluation of carcinogenic potential.

11 But that's one side of the argument.
12 The other side of the argument, well, how much
13 reproducibility was there in the findings? I
14 completely agree with the idea that we need a table
15 that provides groups, the findings that were observed
16 across studies. Okay.

17 So again, to inform myself as to
18 reproducibility, I tried to collect information on not
19 only -- so I started out with which targets had
20 evidence of a statistically significant response. And
21 then I looked across studies, did those studies have
22 similar, but nonsimilar responses that didn't reach
23 the level of statistical significance?

24 And I'll just say that this also added

1 to my confidence that there is some reproducibility
2 there. For example, for lung, I found that there were
3 six studies in which all glyphosate-treated group have
4 an equal or greater tumor incidence above the
5 concurrent control for at least one type of tumor in
6 one sex. And the highest observed incidence is twice
7 the control level; a similar finding for liver, where
8 I thought there were five studies, and for lymphatic
9 and thyroid tumors, where there were three studies.

10 And we did see an earlier presentation,
11 there is, at least, agreement that there were three, I
12 guess they call them equivocal, significant responses
13 in terms of malignant lymphoma. I'll stop there.

14 **DR. JIM MCMANAMAN:** Thank you, Dr.
15 Parsons. Dr. Ramesh.

16 Dr. Portier. I was on the wrong one.

17 **DR. KENNETH PORTIER:** I'm not touching
18 that. That was great. Sorry. I can't add anything
19 to that discussion.

20 **DR. JIM MCMANAMAN:** Okay. Great.

21 Dr. Ramesh.

22 **DR. ARMANDLA RAMESH:** Dr. Parsons made
23 our job very easy. I don't have anything to add other
24 than some small statement. Even though EPA used

1 stringent criteria for picking up studies for
2 comparison purposes, we all know that strain specific
3 differences exist, and differences exist in the study
4 design and all.

5 In that context, even if the tumor
6 responses are statistically significant, they may be
7 of a chance occurrence rather than glyphosate
8 treatment. With all seriousness, if the studies are
9 not reproducible, we need not worry about those;
10 because at the end of the day, we need to make right
11 decisions on the basis of sound science. I don't want
12 to lose sleep over this.

13 **DR. JIM MCMANAMAN:** Thank you, Dr.
14 Ramesh. Dr. Zeltermán.

15 **DR. DANIEL ZELTERMAN:** I agree with the
16 very thoughtful comments from Dr. Parsons.

17 **DR. JIM MCMANAMAN:** Thank you, Dr.
18 Zeltermán. The question is now open to the rest of
19 the panel.

20 Okay. Dr. Jett.

21 **DR. DAVID JETT:** I guess my quick
22 thought on this, I mean, we all know how difficult it
23 is to replicate a study. It's almost impossible, if
24 you've ever tried it. But I'm wondering if the

1 question is more related to reproducing a result, than
2 replicating a study. I just wanted to just throw that
3 in, but I absolutely agree with all of Dr. Parsons'
4 comments.

5 **DR. JIM MCMANAMAN:** Does anyone want to
6 respond to Dr. Jett's -- Dr. Green.

7 **DR. LAURA GREEN:** Dr. Zhang, did you
8 want to --

9 **DR. LUOPING ZHANG:** I have a quick
10 comment.

11 **DR. JIM MCMANAMAN:** If there's not a
12 response to Dr. Jett's suggestion, then --

13 **DR. LAURA GREEN:** Oh. Actually, I do.

14 **DR. LUOPING ZHANG:** Oh, no. Sorry.

15 **DR. JIM MCMANAMAN:** Okay. Dr. Green
16 then.

17 **DR. LAURA GREEN:** I agree that the
18 question is whether the specific result is replicable.
19 I agree with Dr. Parsons that individual studies
20 obviously have great differences in terms of the
21 quality. I want to make an important point, I think,
22 though, which is within a critical study with regard
23 to hemangiosarcoma in male mice, which I believe was a
24 central finding in the IARC declaration that

1 glyphosate is as established rodent carcinogen, I
2 think it's really, really important to point out that
3 although it is absolutely the case, that in male mice
4 in that study, there was a very strong trend for
5 hemangiosarcoma of the liver. Zero in the controls,
6 zero in the low dose, zero in the mid-dose and four
7 out of 50 in the high-dose. It's pretty impressive.
8 But what is very important is that the same study
9 using females, who obviously have pretty similar
10 livers, there was no dose response relationship at
11 all.

12 And in particular, zero hemangiosarcoma
13 of the liver in female controls, two in the low dose
14 and all the denominators are 50 here. It goes zero,
15 two in the low dose, zero in the mid-dose, one in the
16 high dose. And high-dose here is a gram per kilogram.
17 It is absolutely true that across studies one has to
18 be a little careful, but I would say within a study,
19 especially with organ like the liver, to see such
20 disparate results, I think is significant.

21 **DR. JIM MCMANAMAN:** Dr. Parsons.

22 **DR. BARBARA PARSONS:** This has been
23 mentioned a few times, but it is absolutely clear that
24 male and female rats and mice have different incidence

1 of spontaneous tumors for different organs.

2 DR. LAURA GREEN: Of the liver?

3 DR. BARBARA PARSONS: I think so. I
4 think male are more susceptible.

5 DR. JIM MCMANAMAN: Yeah. I've heard
6 that males and females have different hormones.

7 DR. LAURA GREEN: We're talking about
8 the liver here.

9 DR. JIM MCMANAMAN: Yeah. But in point
10 of fact that there are a lot of incidences where in
11 females, their livers respond differently than the
12 males.

13 DR. BARBARA PARSONS: Yes. And they
14 have underlying differences in levels of spontaneous
15 mutation.

16 DR. LAURA GREEN: But the control
17 groups for both the males and the females were zero.
18 I mean, just looking at the concurrent controls. The
19 male response is strong and positive; the female
20 response is completely non-positive, and there's no
21 background rate problem here because the controls have
22 no liver tumors.

23 We're not talking about B63F1 mice
24 here. I mean, were we talking B63F1 mice, I couldn't

1 agree with you more. They get a lot of spontaneous
2 liver tumors. It's a real pain in the neck to look at
3 them, but we are looking at CD-1 mice here, which are
4 not hyper-susceptible as evidenced both by the
5 concurrent controls. And to my knowledge, there's no
6 sex difference in CD-1 mouse livers. I could be
7 wrong. I would love a pathologist to weigh in on
8 this, but to my knowledge, there is no such sex
9 difference. And certainly, in the concurrent
10 controls, it's zero in both cases.

11 **DR. JIM MCMANAMAN:** Wait a minute. Dr.
12 Sheppard was first. Then I'll go to you, Kenny.

13 **DR. LIANNE SHEPPARD:** I want to say,
14 first of all, that I really appreciated the very deep
15 thought and important comments that Dr. Parsons made.
16 They resonate very strongly with me. I think that's
17 been an extremely valuable contribution.

18 I wanted to say that one of the things
19 I noticed, when I reviewed this document, is a summary
20 of the rat data on page 82, it's about paragraph. And
21 the summary of the mouse data, on page 90, also about
22 a paragraph, are almost identical in their format and
23 content. This, as a reviewer of scientific evidence,
24 made me very concerned, because it almost felt like --

1 well, it didn't feel like it drew from the evidence,
2 but almost like it came about in some other way.

3 I also want to say that one of the
4 values -- in spite of the potential heterogeneity
5 between studies that Dr. Parsons talked about -- one
6 of the values of pooling data is that you get more
7 evidence when you combine information than you do from
8 a bunch of small studies, and there's a tremendous
9 amount of value.

10 Not only are you not ignoring the
11 studies that show, on their face value, negative or
12 equivocal results, but you're also, you know, you're
13 combining everything together. If there is some
14 evidence in the multiplicity of studies, you can find
15 it pretty clearly. And, you know, the nice, again,
16 somebody needs to go and understand the details, and
17 probably do it again according to all the EPA's
18 criteria, and make sure all the studies are the ones
19 the Agency has full access to the data for.

20 But the spreadsheet that's on the
21 docket, that was provided by Chris Portier, shows very
22 clearly that for mice, when you combine all the
23 experiments together -- and this is for one, two,
24 three, four, five different studies -- there is a very

1 clear evidence regardless of how you do the testing
2 for renal tumors. To me, that suggests there's pretty
3 strong evidence in one species, and one cancer
4 outcome, that there is an impact of this compound.

5 **DR. JIM MCMANAMAN:** Thank you, Dr.
6 Sheppard. Dr. Crump.

7 **DR. KENNY CRUMP:** I agree with a lot of
8 what has been said about this issue. But I do think
9 that true carcinogenic responses should be
10 reproducible to be real. I mean, we can debate about
11 why they may not appear to be reproduced in certain
12 situations, but I do think they should be reproducible
13 in order to be concluded to be real.

14 EPA noted the lack of reproducibility
15 of statistically significant responses, but this was,
16 as it's been pointed out, it's only stated kind of as
17 a boilerplate statement at the end of the summary. I
18 would have liked to have seen, as other people have
19 looked at, maybe tables of something you think is
20 statistically significant; and look at the response
21 that was seen in all of the studies so we can make
22 some determinations. Do we think there is a
23 reproducibility or not? I would encourage the Agency
24 to put more information out there for us to look so we

1 could determine that in a better way.

2 I tried to go through, in fact, I did
3 go through, all of the studies and looked at each one
4 that was determined statistically significant. And I
5 pulled out all the data from all the other studies, on
6 that particular endpoint, and compared them. And I
7 summarized it in my earlier submission. I do have the
8 original raw data that I could also provide if anyone
9 was interested. But I didn't really detect much
10 evidence, at least, of reproducibility. I considered
11 the strains, the sexes, species, and the dose rates.
12 In retrospect, I could've also considered the duration
13 of exposure, but I did not do that.

14 But I would like to mention the one
15 case that Dr. Parsons mentioned, and that is lymphoma
16 in mice. And that is the one case where there was a
17 statistically significant result in two studies of the
18 same endpoint and the same species and the same sex.
19 This is the Wood et al. (2009) study. And the
20 Sujimoto (1997) study. And Wood et al., it was 0, 1,
21 2 and 5. And in Sujimoto, there was 2, 2, 0, and 6,
22 both of those were statistically significant .05
23 level.

24 But if you look at those really

1 closely, they don't really seem to match up that well.
2 Sujimoto had much higher doses than Wood et al. And
3 in fact, the high dose in Wood, where you got 5, which
4 that was the cause of the statistical significance,
5 there was almost a comparable dose in Sujimoto, 838,
6 where there were not tumors.

7 Although it's interesting that they
8 both occurred in the same species, same sex, I didn't
9 see them as being quite comparable. And in addition,
10 Hogan and Knezevich also had the higher dose in either
11 of these studies, and they did not detect any evidence
12 of significant effect of malignant lymphoma in their
13 study.

14 In fact, the response in the high dose
15 was equal to the response and controls. That's the
16 closest thing to comparability that I detected, but I
17 don't think -- maybe with all of these studies we've
18 got and all the things we've looked at, I'm not sure
19 how much strength we should give to that. But I do
20 have the data that I pulled out for all the studies.
21 I'd be glad to include that if we think it's
22 important.

23 In summary, my review of the data show
24 that the positive responses were not produced in other

1 studies. In fact, in many cases, there were
2 significant or near significant negative trends in the
3 same tumor categories as those in which significant
4 positive trends were identified.

5 With so many tumor categories recorded
6 in these studies, as we've talked before, a true
7 significant positive trend and significant negative
8 trends would be expected, even if treatment has no
9 effect on tumor rates. And I did see about as many
10 significant negative trends as I saw significant
11 positive trends. We should also think about that as
12 well.

13 But I would go on to say the multiple
14 comparison problem is particularly acute in the case
15 of glyphosate because we've got so many studies. It's
16 very unique. It's a particularly acute problem in the
17 case of the glyphosate data. And it appears that the
18 positive responses observed are no greater than what
19 would be expected just by chance. So overall, these
20 results appear, to me, to be best interpreted as the
21 results of random assignment of animals to dose
22 groups, rather than due to any carcinogenic effect of
23 treatment.

24 **DR. JIM MCMANAMAN:** Thank you, Dr.

1 Crump. This seems to be a different view than what
2 was expressed. Does anyone have any comments about
3 how, potentially, the two views could be reconciled?

4 Dr. Sheppard.

5 **DR. LIANNE SHEPPARD:** I think the way
6 to reconcile it is by pooling the data instead of
7 counting the number of statistically significant tests
8 in one direction or another. The data should be
9 pooled. That way you can ask the question on the
10 large database as opposed to a lot of separate
11 studies. All these studies are small and there's
12 natural variation, particularly with small numbers.
13 And distinguishing, you know, a count of zero and a
14 count of 5 and studies that are done differently; you
15 know, there's a lot behind that. The pooling allows
16 you to get a better sense of it.

17 **DR. JIM MCMANAMAN:** Is that what Dr.
18 Chris Portier did for the renal tumors?

19 **DR. LIANNE SHEPPARD:** He did it for
20 several tumors in the mouse data. I'm not exactly
21 sure how he pooled, because he didn't document that
22 and there's devils in those details. But yes. It
23 seems like it was done appropriately, in general. But
24 specifically, the Agency might want to tweak some

1 details.

2 **DR. JIM MCMANAMAN:** Let me ask a
3 question. Given the low animal numbers that we have,
4 is this kind of variability or kind of hence of one
5 thing or another, is this what we would expect for
6 something that would be a weak tumor promoter? Is
7 that we were to get this kind -- and that we really
8 need more animals or greater power in the studies to
9 evaluate this? Or is it something that would not be
10 consistent with that possibility?

11 **DR. BARBARA PARSONS:** I think that's
12 right. I think that if you had a weak tumor promoter,
13 and the magnitude of effect is small, that this tumor
14 profile is what you would expect to see.

15 **DR. JIM MCMANAMAN:** Dr. Green.

16 **DR. LAURA GREEN:** I agree with
17 everything that's been said, but I want to amend
18 something I thought before. We've all been talking
19 about tabulating the data. But what Kenny just said
20 made me realize, we should graph the data because
21 there are very different dose groups. We ought to
22 just make a simple x/y plot, right. We've got six
23 mouse studies -- by the way, I don't know why Chris
24 Portier only has five because there's six of them.

1 But we ought to just make an x/y plot.

2 And on the x-axis are doses of
3 glyphosate acid; and on the y-axis are percent
4 response. And just see what the data look like,
5 right? I think that would be better than a table
6 because it would allow us to see this dose variation
7 and it would be super informative, I think.

8 **DR. JIM MCMANAMAN:** That might be a
9 good idea, except for Dr. Ramesh pointed out why that
10 might not work, given that we're at high doses
11 already.

12 **DR. ARMANDLA RAMESH:** Are we talking
13 about a particular species or strain?

14 **DR. LAURA GREEN:** Yeah. For example,
15 the CD-1 mouse has been tested five or six times, look
16 at the renal tumors. And there's different doses and
17 they're slightly different, you know, time courses.
18 And the Wood study I think is the one where it was
19 terminated 18 months. There are few details.

20 But for the most part, there's enough
21 similarity that if we do it by species and strain --
22 and I would argue for plotting the males and females
23 on the same chart. Although obviously, for things
24 like testicular cancers and mammary gland tumors,

1 that's not a good idea, but I would argue for others
2 it would be. Or if you'd like, two different charts.

3 I mean, the details don't matter. But
4 what I realize, listening to everyone, is because
5 there are such different dose ranges, a table is not
6 completely informative.

7 **DR. ARMANDLA RAMESH:** I have a problem
8 with comparing with the female animals because I don't
9 know how many of them were normalized with regard to
10 the cyclicity. It's through cycle changes and all,
11 because most of these chemicals are under hormonal
12 influence also.

13 **DR. JIM MCMANAMAN:** Yeah, but these
14 were long-term studies, so I wouldn't think that that
15 would make any difference.

16 **DR. ARMANDLA RAMESH:** Yeah, but I think
17 instead of saying it is, I don't want still to say it
18 is a re-carcinogen. It may be, but for that matter
19 any chemical that's the same. And glyphosate is no
20 different from the other chemicals that have a lesser
21 carcinogenic potential.

22 **DR. JIM MCMANAMAN:** Okay. Thank you.
23 That was Dr. Ramesh. Dr. Crump.

24 **DR. KENNY CRUMP:** Yeah, a couple of

1 points. The idea that this data is consistent with a
2 small, but nongenotoxic effect, I think that's
3 probably true. But I think we can't rule out it's
4 also consistent with what you expect by random, is
5 assignment of animals to dose groups. I think that's
6 still true. Maybe both are true.

7 With regard to the suggestion that we
8 should be pooling animals, I haven't seen any details
9 on that. Sorry, I haven't read Dr. Portier's paper
10 yet. But I'd like to know more about that before we
11 would recommend something like that.

12 I'm not sure what we're pooling here.
13 It seems like we're only pooling, correct me, but
14 we're pooling responses of the same type in different
15 studies. But I don't really see how that would
16 address the multiple comparison problem when we have
17 so many tumors in different sites completely, anyway.
18 It might help a little bit. But I'd like to know more
19 about the pooling, and how we would do it and how we
20 would interpret it.

21 **DR. LIANNE SHEPPARD:** Well, the
22 scientific question is whether there's carcinogenic
23 potential. And I don't think that means potential in
24 all sites, it means in any site. And therefore, it's

1 not -- my scientific interpretation is, it's not
2 appropriate to put together different sites and to
3 consider them equally. You need to take each site in
4 turn. Because if this compound is carcinogenic in a
5 single site, it's still -- according to my
6 understanding of reading the guidelines, it still has
7 carcinogenic potential.

8 And so therefore, the multiple
9 comparisons question is not about all sites, it's
10 about any one site. And the best way, in my mind, is
11 not to say oh, what is the P value that we expect in a
12 really small study; but, what is the evidence in the
13 body of studies?

14 And that's why the pooling is more
15 appropriate in my mind, then the multiple comparison
16 adjustment. Because the pooling allows us to get at
17 the deeper and much more important question, which is,
18 what is the evidence in the data that we have, that
19 there's carcinogenic potential? And so then we just
20 need to go about trying to answer that as technically
21 well as we can.

22 **DR. JIM MCMANAMAN:** Okay. Thank you,
23 Dr. Sheppard. Dr. Johnson, do you have --

24 **DR. ERIC JOHNSON:** Yes. From what I've

1 heard, I seem to hear much more concern about this
2 animal carcinogenicity study than the flavor I got
3 from reading the EPA's Summary of Conclusions.

4 I wish our colleagues could highlight
5 these studies, which are not so obvious when we read
6 the EPA conclusion in the report. Because really, I
7 mean, there is room for different thoughts when you
8 hear all the details, which Dr. Parsons' was really
9 elegant.

10 **DR. JIM MCMANAMAN:** Okay. Thank you.
11 I think that we probably -- Dr. Zhang, do you have a
12 quick -- I see your light is on.

13 **DR. LUOPING ZHANG:** Oh. Quick comment.
14 I hurry, you know. I think if I could make a
15 suggestion. I think the document the Agency provided,
16 I think it's a little bit difficult to really, for me
17 at least, get the most important information. What
18 I'd like to suggest is when we had the first public
19 comment from EFSA, see, they make the table. It just
20 shows you the example for the lymphoma in mice. They
21 put the other study, and the male/female and the dose,
22 so everything in one table.

23 I heard how to present the data would
24 be easier to do a comparison. I think that would

1 maybe be a good way to do it. Because I thought there
2 are some studies, what she presented, we didn't have.
3 But finally, actually, I found it from, you know,
4 somewhere. You actually included it, but excluded it
5 from the write-up; but in your table, it was actually
6 still there. But it was just very difficult to get
7 the data. That's just a suggestion.

8 **DR. JIM MCMANAMAN:** All right. Thank
9 you, Dr. Zhang. Dr. Crump.

10 **DR. KENNY CRUMP:** One more comment
11 about how we might display the data. The way I did
12 it, I thought it was revealing to me. I did it, first
13 of all, in a given sex and strain, and listed all the
14 studies one below another, giving the doses and
15 responses, that we could look at. And did the same
16 thing in the other sex in those same studies. And
17 then I went to other strains of the same species to
18 look at those.

19 And finally, I went to the other strain
20 of rats to see if there was any corroboration in
21 there. And I found that way to organize the data to
22 be revealing, at least to me.

23 **DR. JIM MCMANAMAN:** Okay. Thank you,
24 Dr. Crump. I think that we've discussed this pretty

1 thoroughly. I'll go back to the Agency and ask if
2 there is further clarification needed.

3 **DR. ANNA LOWIT:** So we've heard a large
4 number of suggestions that vary in their complexity.
5 We would hope that the report represents that broad
6 spectrum of suggestions; not only of complexity, but
7 of different points of view. We're hearing, to some
8 degree, I think, conflicting advice, which is fine,
9 that the studies differ in many ways. And then we're
10 hearing advice to then pool them.

11 That's two different ways to look at
12 the information. We would hope that all of those
13 views are represented.

14 **DR. JIM MCMANAMAN:** That was Dr. Lowit.
15 Let me encourage the panel members, when you do your
16 write-ups, if, for instance, Dr. Sheppard is in favor
17 of the pooling, please provide good recommendations
18 about the approach and what should be done in terms of
19 pooling, in your view, so that we have details about
20 that.

21 Would that be helpful?

22 **DR. ANNA LOWIT:** Yes, but I don't want
23 it to become -- I don't want to drown out all the
24 other suggestions about figures and tables.

1 **DR. JIM MCMANAMAN:** No, no, no. I'm
2 just using that as an example. If you have a specific
3 point of view about how to present this, or how to
4 evaluate this, then really, please include as much
5 detail as possible in the report.

6 **DR. ANNA LOWIT:** And all the
7 suggestions as well.

8 **DR. JIM MCMANAMAN:** Yes. Okay. Do we
9 want to take a break now, maybe a 15-minute break? So
10 be back at five after 10:00.

11

12 **[WHEREAS A BREAK WAS TAKEN]**

13

14 **DR. JIM MCMANAMAN:** I think we're at
15 3(f). If we could read that into the docket.

16 **DR. ANWAR DUNBAR:** This is Dr. Anwar
17 Dunbar. I'm going to read Charge Question 3(f). As
18 described in Section 1.4, high-end estimates of
19 exposure based on the currently registered uses for
20 glyphosate in the United States have been calculated
21 as 0.47 mg per kg per day and 7 mg per kg per day for
22 potential residential and occupational exposures,
23 respectively.

24

As a result, the Agency concluded that

1 tumors observed at high doses, those approaching or
2 exceeding 1000 mg per kg per day, following glyphosate
3 administration, are not relevant for human health risk
4 assessment.

5 Please comment on the conclusions
6 regarding the relevance of high-dose tumors to the
7 human health risk assessment for glyphosate.

8 **DR. JIM MCMANAMAN:** Thank you, Dr.
9 Dunbar. The discussants on this are Dr. Parsons,
10 Green, and Ramesh. Dr. Parsons is lead.

11 **DR. BARBARA PARSONS:** First, I wanted
12 to echo a comment that was made, during the open
13 comment period, regarding what I perceived as the
14 dilemma set up in the EPA document. On one hand, the
15 document downgrade studies that don't use doses as
16 high as 1000 mg per kilogram per day; and at the same
17 time, makes the argument that doses above 1000 mg per
18 kilogram per day are not relevant to human exposure.
19 I'll just mention that.

20 Certainly, I think it's clear to all of
21 us, the tumors induced only at very high doses are
22 less of a safety concern than those induced at doses
23 within the range of human exposure. Chemically
24 induced modes of action occurring at high doses, which

1 have the potential to overwhelm homeostatic
2 mechanisms, may not occur at lower doses.

3 However, in regards to this charge
4 question, what I would like to point out is that there
5 were significant, potentially carcinogenic effects
6 observed at doses lower than 1000 mg per kilogram body
7 weight per day. Significant induction of lymphocytic
8 hyperplasia was observed at 11 mg per kilogram body
9 weight per day. And that was Lankas.

10 Significant lymphoid hyperplasia was
11 observed at low and mid-doses in male CD-1 mice.
12 That's 71 and 234 mg per kilogram body weight per day,
13 in a study where malignant lymphomas were
14 significantly induced at 810 mg per kilogram body
15 weight per day. That occurred with the trend test of
16 0.007. And I explained yesterday why I think the
17 Cancer Guidelines are suggesting that it would be
18 something we should pay attention to.

19 Male Sprague Dawley rats in the Lankas
20 study demonstrate a significant trend, and a
21 significant pairwise comparison between control and
22 high-dose for testicular interstitial tumors, when the
23 high dose was 31 mg per kilogram body weight per day.
24 Also, with a P value of 0.009. I think the Agency

1 should consider these glyphosate concentrations below
2 1000 mg per kilogram body weight per day, which
3 produced, what I assume, our carcinogenic effects in
4 rodents, consider them when establishing acceptable
5 levels of glyphosate exposure.

6 I conclude that carcinogenicity was
7 observed with rodent lifetime exposures as low as 31
8 mg per kilogram body weight per day. I don't think
9 this generates concern for dietary or residential
10 exposures to glyphosate. But this is only about
11 fivefold greater level than EPA's upper limit estimate
12 for glyphosate exposure in the occupational setting.

13 Therefore, I disagree with the
14 conclusion in the document that says 7 mg per kilogram
15 per day is well below -- this quote -- "Well below the
16 doses necessary to elicit the effects seen in these
17 animal carcinogenicity and genotoxicity studies."

18 I would add that if glyphosate causes
19 progression of spontaneously arising lesions -- and by
20 this, I mean cells carrying cancer driver or other
21 mutations -- then humans are potentially at risk of
22 glyphosate-induced carcinogenicity; and the longer
23 human lifespan is expected to contribute to that risk.

24 In terms of selecting appropriate

1 uncertainty factors for ensuring public health, there
2 are a number of factors that I would recommend EPA
3 consider.

4 First, it should recognize that the
5 much longer human lifespan, relative to the rodent, is
6 likely to result in human tissues accumulating more
7 spontaneous cancer driver mutation than rodents.
8 Here, I'm talking about mutations that confer a
9 tissue-specific selective advantage to mutant cells.
10 The risk associated with chemical exposures, capable
11 of causing progression of pre-existing spontaneous
12 lesions, are potentially significant in human.

13 The use of glyphosate, which I believe
14 is likely a high-dosed rodent tumor promoter, within
15 formulations in which other chemical entities possess
16 any genotoxic potential, would be a significant public
17 health concern. But to balance that, I want to say
18 that it should also be recognized that the potential
19 replacement of glyphosate, a well-characterized
20 herbicide with potentially well-characterized or
21 potentially less safe herbicides, would also carry a
22 risk.

23 And this is pretty much an aside, but
24 in some of the comments that we heard, I just want to

1 mention that since we're -- well, never mind. I'll
2 just leave off.

3 **DR. JIM MCMANAMAN:** Thank you, Dr.
4 Parsons. Dr. Green.

5 **DR. LAURA GREEN:** In response to the
6 charge question, it is, in my experience, unusual to a
7 priori disregard doses of gram per kilo risk -- well,
8 let me say it in two ways.

9 If the responses that Dr. Parsons
10 points out are true positives, then the draft
11 document's treatment of the data is not health
12 protective. Regardless of whether they are true
13 positives or false positives -- and by "they" I mean,
14 the findings of Leydig cell tumors at 31 mg per kg in
15 Lankas et al. study, which I've made clear, I think
16 it's a false positive.

17 But regardless, it is not Agency
18 policy, in my experience, to make what seems to me a
19 bit of an arbitrary decision here, especially since,
20 as we have mentioned, a gram per keg day is not the
21 maximally tolerated dose. There is no evidence that
22 important systemic or organ-level or tissue-level
23 damage is occurring at a gram per kg day. I know of
24 no reason to discount the findings at a gram per day,

1 and higher for that matter, up to 4000 mgs per kg day
2 or 4 grams per kg day.

3 I find the agency's decision here to be
4 counter to what it does for lots of other chemicals in
5 lots of other settings and programs within the Agency.
6 I would also note that, in my experience, the only
7 times that the Agency discounts wholesale high-dose
8 response, is if it has strong belief that the
9 mechanism of action, by which the putative
10 carcinogenic events are happening, is well-known and
11 displays a hockey-stick like shape in its dose
12 response relationship.

13 I hesitate to say the word "threshold,"
14 but certainly for chemicals such as chloroform in
15 drinking water, the Agency struggled long and hard
16 before it finally determined that there was enough
17 science on how chloroform induces cancers and tumors.
18 And that it has an apparent threshold below which
19 tumorigenicity risk is essentially zero. And only
20 after years of discussion and thought did the Agency
21 decided that for a chemical like chloroform, one could
22 disregard high doses.

23 I am disturbed by this. I would not
24 recommend it. It turns out to be academic because, as

1 I've made clear, I think the tumor findings are false
2 positives anyway. But if they were true positives, I
3 think this is an incautious approach, which I think
4 it's counter to Agency policy, except in very rare
5 circumstances.

6 **DR. JIM MCMANAMAN:** Thank you, Dr.
7 Green. Dr. Ramesh.

8 **DR. ARMANDLA RAMESH:** I agree with the
9 Agency conclusions because no matter whatever amount
10 of glyphosate is taken in, only 30 percent was found
11 to be observed. The rest of it is excreted largely
12 through feces and urine.

13 The net amount going to the tissues to
14 cause any mutations or any perturbation seems low.
15 However, in the revised White Paper, they may want to
16 emphasize the point raised by Dr. Parsons. The
17 likelihood of glyphosate contributing to the
18 progression of a pre-existing lesions or mutations.
19 That aspect needs to be mentioned as a qualifying
20 statement.

21 Other than that, by and large, I am in
22 agreement of the conclusions, that at high doses, the
23 findings are not of any toxicological or
24 carcinogenicity consequence.

1 **DR. JIM MCMANAMAN:** Okay. I'll open
2 this question up to the rest of the panel. We've
3 heard differing views. Dr. Johnson.

4 **DR. ERIC JOHNSON:** Well, I would just
5 like to point out that if we use the dioxin example,
6 EPA used in its risk assessment, the occupational
7 cohort studies from NIOSH. And that cohort had
8 exposures that were up to over 10,000 times what
9 you'll find in the general population.

10 I don't see why, in this case, we
11 should limit consideration of exposures greater than
12 1,000 mg per kg, especially when there's no toxicity
13 observed at that dose. Over 10,000 times, the
14 exposure will experience the occupational cohort,
15 compared to the general population. And whether
16 dioxin was going to be classified as carcinogen or
17 not, it was going to be based on those data. There is
18 no limit on that.

19 **DR. JIM MCMANAMAN:** Thank you, Dr.
20 Johnson. Other comments? All right.

21 **DR. KENNY CRUMP:** I have a comment.

22 **DR. JIM MCMANAMAN:** Dr. Crump.

23 **DR. KENNY CRUMP:** Well, I'm thinking
24 one of you has pointed this out, but I think EPA needs

1 to clarify its position on result on exposures that
2 exceed 1000 mg per kg per day. In some places the
3 document appears to suggest that none of his responses
4 are related to treatment. But in other places, it
5 seems to indicate that these responses are related to
6 treatment, but they're simply being discounted by the
7 Agency. I have some examples of that wording in my
8 fuller submission. I think it's really important for
9 the Agency to clarify that point. Are you just
10 disregarding them because they're high? Do you think
11 they are due to treatment or not?

12 **DR. JIM MCMANAMAN:** Thank you, Dr.

13 Crump. Other comments?

14 Dr. Sheppard.

15 **DR. LIANNE SHEPPARD:** Thank you. The
16 first point I would like to make is while the charge
17 question focuses on risk assessment, the document that
18 were evaluating is about hazard assessment. The dose
19 considerations in a hazard assessment are really
20 different from those in a risk assessment. And the
21 goal of a hazard assessment is determined hazard
22 potential, not exposure potential.

23 As I mentioned yesterday, in order to
24 inform the dose response evidence from small studies,

1 it's important to study high enough doses where the
2 effects can be anticipated in the small samples if
3 indeed effects exist. Now that is not to set aside
4 the scientific considerations of problems with high
5 doses that Dr. Parsons talked about, but that evidence
6 needs to be made clear if there's any reason to be
7 concerned with that. And presumably, that is taken
8 into account in the design. That's the point of the
9 guidelines for these studies, is to take that into
10 account in the design and to not study too high doses
11 where there's going to be problems.

12 Again, as I stated yesterday or earlier
13 this week, from a human point of view, we care about
14 increased cancer incidence on the order of one in a
15 million. We can't do an animal study of a million
16 animals. As we heard, just a few minutes ago, nor do
17 they live long enough to necessarily show the
18 endpoints that we care about.

19 A small toxicological study will never
20 have enough power to provide evidence for such small
21 increase risk. We have to base the analyses and our
22 determination of the evidence on the experiments as
23 their designed, and infer from the entire dose
24 spectrum that is studied.

1 It's inappropriate, after the studies
2 are completed, to discount the high dose results.
3 Because it's the high doses that help us understand
4 and give us the sufficient power, in small sample
5 sizes, to allow insights to be inferred from lower
6 doses. It's the role of risk assessment to do that
7 extrapolation to lower doses. It's not the role of
8 hazard assessment.

9 **DR. JIM MCMANAMAN:** Okay. Other
10 comments? I think that Dr. Sheppard makes some very
11 cogent points related to this. And if the other
12 panelists can weigh in on her points, relative to the
13 other assessments, I think it might be helpful.

14 Dr. Portier.

15 **DR. KENNETH PORTIER:** So, you know, I
16 had kind of the same feeling. Every time they say the
17 hazard statement, they tack on, in the report, at
18 human relevant doses. The discussion of the high
19 doses is extremely important because of that
20 translation.

21 Where I do have problems with high
22 doses, and I wish would be done in the report, is
23 actually tell us when the high dose seemed to produce
24 conditions in the animals that would raise concern,

1 that what we're seeing is not related to the
2 carcinogenic potential of the chemical, but its toxic
3 potential at high doses. When you start seeing real
4 big changes in blood and urine chemistry, when you see
5 extreme body weight conditions. I mean, that's when I
6 start to get worried.

7 The problem with glyphosate, and I was
8 trying to figure out why would they take a mouse study
9 up to 4100 milligrams, which is what 13, 14 percent of
10 expected adult body weight. And I think the early of
11 researchers were saying we don't think there's a toxic
12 effect here; we can just give them high doses of this
13 stuff. But nowhere in the document did they go to the
14 original studies and look through the notes and say,
15 where are there health issues at the high doses.

16 For a few of them, we did note body
17 weight drops immediately; that that particular high
18 dose never quite caught up. Which has some
19 implications on its carcinogenicity, but I didn't see
20 a lot of the additional biological conditions that
21 would tell me, well, maybe I shouldn't be considering
22 that high dose. I'm kind of left uncertain. For me,
23 it's almost study-by-study, they should've gone in and
24 said, at the high dose, nothing was seen; at the high

1 dose, something was seen. Okay, maybe I dropped it
2 here. I don't drop it there. I'm on the fence,
3 right. I'm in between.

4 **DR. JIM MCMANAMAN:** It sounds like you
5 were leaning towards Dr. Sheppard's point of view,
6 though.

7 **DR. KENNETH PORTIER:** Well, you know, I
8 think globally, in the document, they're mixing, to
9 me, risk assessment and hazard. And I think it comes
10 down to this statement that they keep tacking at the
11 end, not at human relevant doses. And they haven't
12 done the full exposure assessment, although we had
13 some discussion on that.

14 And I'm sorry I missed the first day
15 because I think there was a lot more discussion on
16 exposure then. But I would've liked a more clean
17 hazard assessment myself. And I think when we get to
18 Question 5, some of that discussion is going to relate
19 more to using the Bradford Hill criteria to assess
20 hazard than to assess risk.

21 Although we're talking about dose
22 response, but we didn't do modeling and all that other
23 stuff that goes with risk assessment. This is
24 somewhere an in between report. It's in between a

1 hazard assessment and a full risk assessment.

2 **DR. JIM MCMANAMAN:** Neither fish nor
3 fowl. Okay. Dr. Green, did you have a comment?

4 **DR. LAURA GREEN:** Yeah. I wanted to
5 agree with everything that was said. Dr. Sheppard is
6 exactly right; the point of doing high-dose studies is
7 to make up for the fact that you've only got 50 or 60
8 animals per group.

9 By the way, you know, two years in a
10 rat pretty much is a human 70 or 80-year lifetime.
11 That's not so much problem, but obviously, 50 animals
12 per group is not a great stand-in for 300 and however
13 many million Americans we have, not to mention 7
14 billion people on the planet. Dr. Sheppard is
15 completely right.

16 I just want to remind us that
17 toxicologically, this is a very unusual compound.
18 It's not toxic per se, except at, you know 5 grams per
19 kilogram or higher levels, which is pretty non-toxic.
20 It's not metabolized at all by the liver or any other
21 human enzyme system that we know of. It's metabolized
22 a teeny bit in the gut, depending, I imagine, on
23 exactly what microflora are they are and how long the
24 stuff is in the gut. It's not an electrophile, it's

1 not a nucleophile.

2 I mean, the things that we worry about
3 with high doses have either to do with saturating
4 detoxification systems or other, you know, sort of
5 toxicologically significant differences. As far as we
6 can tell, there are no toxicologic significant
7 differences between a low dose of glyphosate and a
8 sublethal dose of glyphosate.

9 I mean, it's just non-toxic until
10 there's so much of it that it kills you for pretty
11 much nonspecific reasons. And by "you" of course I
12 mean rodents. And by the way, that's actually seen in
13 human clinical writeups of people who have attempted
14 to commit suicide by drinking lots of Roundup or their
15 equivalents in Japan. I don't know what it's called
16 Japan.

17 It turns out to be really hard to kill
18 yourself drinking Roundup. And when you get into
19 trouble, it turns out to be mostly because of the
20 surfactants and other things. I mean, glyphosate is
21 super non-toxic and it's not metabolized and
22 therefore, I don't -- by humans and other mammals --
23 therefore, I really don't see why one would discount a
24 gram per kg. It does not fit in the paradigm.

1 **DR. JIM MCMANAMAN:** Okay. Thank you,
2 Dr. Green. Dr. Crump.

3 **DR. KENNY CRUMP:** I'd like to say that
4 I totally agree with what Dr. Sheppard said. I think,
5 I'm not sure if anyone said this is this discussion,
6 but from my look at the EPA Cancer Guidelines, 1000 mg
7 per kilograms per day is not what they recommend as a
8 top dose. It's 5 percent in diet for feeding studies.
9 They have that problem also.

10 **DR. JIM MCMANAMAN:** Okay. Dr. Jett.

11 **DR. DAVID JETT:** I'm an organophosphate
12 expert and I'm thinking about drinking Roundup. I
13 think, I'm probably mostly leaning towards Dr.
14 Sheppard's position. What I do at NIH mostly is
15 translational research so we do a lot of preclinical
16 safety studies and things like that. And usually when
17 we can, we try to do these studies where we have a
18 dose where we know we're going to have a positive
19 effect. And it may be really high, but it's almost
20 like that internal control so that we know that we
21 have the proper dose range.

22 Given the fact that we do know that
23 there are some levels of Roundup that will produce
24 these effects, and it may be really high, I probably

1 would lean on looking at studies that included that
2 dose.

3 Now, the significance of the tumors is
4 another story. But to discard studies simply because
5 they only see anything at the high tumors, I think,
6 probably is not the way to go.

7 **DR. JIM MCMANAMAN:** Okay. Thank you.
8 I think we've heard a pretty good discussion about
9 this. I'll go back to the Agency and ask if there's
10 any additional clarification.

11 **DR. ANNA LOWIT:** No additional
12 clarification questions, per se. I just want to
13 remind all of you that the U.S. works under the OECD
14 mutual acceptance of data, what people call the MAD.
15 Which means that, under the mutual acceptance of data,
16 the OECD guideline limit dose of 1,000 would be the
17 maximum tested in the studies that we receive.

18 If this panel was to suggest that we
19 routinely start asking for our less potent chemicals
20 to test up to 5 percent of body weight, it would be in
21 conflict with the OECD, and we would be in conflict
22 with our other international partners.

23 **DR. JIM MCMANAMAN:** Okay. Dr.
24 Sheppard.

1 **DR. LIANNE SHEPPARD:** Yeah. I just
2 wanted to say, we're not asking that you change
3 anything about what you're asking for; we're only
4 asking you to use the data that you have to its
5 fullest.

6 **DR. ANNA LOWIT:** I think there's a
7 semantics thing that we're hearing. That there's a
8 belief on the panel that we have discarded
9 information. And in fact, had we discarded it, it
10 would not have been in the paper.

11 I guess to some degree I would ask some
12 of you to think about your use of certain words
13 because they are, in my view, inaccurate to the paper.
14 It may be a reasonable criticism that you have on our
15 phrasing of how the limit dose effects were looked at,
16 but we do take issue with the comments about
17 information being discarded, because nothing was
18 discarded.

19 **DR. JIM MCMANAMAN:** Okay. I think that
20 the issue here is relevancy. And I think the comments
21 should be made towards the relevancy of these high
22 doses. If we could elaborate in using the term
23 "relevancy."

24 Okay. I think then we'll move on to

1 Charge Question 3(g).

2 DR. ANWAR DUNBAR: This is Dr. Anwar
3 Dunbar. I'll be reading Charge Question 3(g).

4 Please comment on the strengths and
5 uncertainties associated with the agency's overall
6 weight-of-evidence and conclusions based on the
7 available animal carcinogenicity studies as described
8 in Section 4.8.

9 DR. JIM MCMANAMAN: Thank you, Dr.
10 Dunbar. The discussants on this are doctors Ramesh,
11 Crump, Parsons and Portier. Dr. Ramesh is the lead
12 discussant.

13 DR. ARMANDLA RAMESH: I am in agreement
14 with the agency's interpretation, with a little bit of
15 reservation. I find that the strengths or the weight
16 of approach is considered adequate, and the qualifying
17 criteria EPA adopted for selecting studies that's
18 appropriate. The weaknesses are, in the document it
19 was mentioned the observed tumor responses are
20 unrelated to glyphosate treatment. That conclusion
21 needs to be revised a little bit, saying that yes, the
22 observed tumor responses are unrelated to glyphosate
23 treatment, going by the literature reports and data.

24 However, the contribution of glyphosate

1 to either promotion or progression of spontaneously-
2 induced lesions, cannot be ruled out. With that
3 qualifying statement, the document is okay.

4 **DR. JIM MCMANAMAN:** Thank you, Dr.
5 Ramesh. Dr. Crump. 3(g). Yes, overall weight-of-
6 evidence. Question G.

7 **DR. KENNY CRUMP:** I have a different
8 number.

9 **DR. JIM MCMANAMAN:** It's the last one
10 on the slide. Yes, it's 3(g).

11 **DR. KENNY CRUMP:** I'm sorry. Okay. I
12 summarized some of the thing I've said before, but I
13 considered the weight-of-evidence evaluation gives
14 excessive weight to several factors in the weight-of-
15 evidence evaluation. And those are monotone dose
16 responses, historical tumor rates, lack of statistical
17 significance of pairwise comparisons when they're a
18 significant trend, and disregarding or giving low
19 weight to what results to exposures greater than 1000
20 mg per kg per day.

21 On the other hand, I think EPA's
22 weight-of-evaluation do not take proper account of the
23 serious multiple comparison problem caused by focusing
24 attention on the most extreme tumor responses out of a

1 large number of responses. And I'll just briefly go
2 through each of those shortcomings and make further
3 comments about them.

4 First of all, the monotonicity; the
5 fact that an observed dose response is not monotone
6 provides essentially no evidence that the underlying
7 true response is nonmonotone. That's not part of the
8 EPA guidelines. And I think it just absolutely needs
9 to be dropped. In fact, I did a simulation that
10 showed. I took two monotone dose responses and
11 simulated the data. There were more nonmonotone
12 responses than monotone in every case.

13 Historical control rates; in cases in
14 which EPA relied on historical control rates, it was
15 used to suggest that the tumor response is not dose-
16 related. If this is true then all the tumor responses
17 observed in all dose groups are incidental, so it
18 would be reasonable to compare the historical tumor
19 rates with all the tumors and not just the ones in the
20 control group. The EPA Cancer Guidelines properly
21 recommend caution in the use of historical control
22 data. And I'll repeat this one more time. I think
23 I've already repeated it twice.

24 "Generally speaking, statistically

1 significant increases in tumors should not be
2 discounted simply because incidence rates in treated
3 groups are within the range of historical controls or
4 because incidence rates in concurrent controls are
5 somewhat lower than average." That's a direct quote
6 from the EPA Guidelines.

7 I think the reliance on the use of
8 historical control data in the report was overdone a
9 bit and not in keeping with EPA Guidelines. Pairwise
10 tests; in several cases, EPA used the nonsignificance
11 of pairwise tests to down weight a significant trend
12 test. And this is contrary to EPA Guidelines, as has
13 been pointed out by me and others just this week;
14 which says, "However, the EPA Cancer Guidelines states
15 that significance in either a trend test or a pairwise
16 test is sufficient to reject the hypothesis that
17 chance accounts for the results."

18 And so, in my opinion the EPA analysis
19 would be on a sounder and more easily-interpreted
20 footing if it avoided a battery of pairwise tests, and
21 instead, conducted a single powerful test for
22 carcinogenicity, namely an age-adjusted trend test.
23 One test for carcinogenicity for each endpoint. I
24 think that would be a much better approach.

1 Disregarding of exposures greater than
2 1000 milligrams per kilogram per day. I mentioned
3 just a few moments ago, that is at odds with the EPA
4 Guidelines, which suggested 5 percent of test
5 substance -- above 5 percent would be the cutoff. And
6 there were no exposures in any of the studies that
7 exceeded 5 percent in the feed.

8 I see no reason for disregarding
9 results from exposures greater than 1000 mg per kg per
10 day, as long as the dose does not exceed the maximum
11 tolerated dose. I also do not agree that such doses
12 necessarily have no relevance for human risk.

13 Strict reliance on significance of
14 individual tumor responses at the 5 percent level.
15 All the shortcomings, I mentioned previously, are in
16 the direction of making a conclusion of no
17 carcinogenic effect, when there is a carcinogenic
18 effect. However, it seems to me that these
19 shortcomings are more than compensated by focus on the
20 statistical significance of tumor type, showing more
21 extreme dose responses among a very large number of
22 tumor types for which data are available. With such a
23 large number of tumor types available for statistical
24 evaluation, you have a terrible multiple comparison

1 problem as really exacerbated in the case of
2 glyphosate because there are so many studies.

3 This statement has been stated before;
4 a number of statistically significant responses would
5 be expected to occur simply by chance when evaluating
6 such a large number of tumor types. In fact, the
7 meta-analysis that Dr. Haseman did, as well as the one
8 that I did, suggests that the number that we were
9 seeing in these studies were about what you'd expect
10 to see by chance.

11 In addition to the issues concerning
12 the evaluation of the animal data presented above, I
13 think it's still important to note that none of the
14 statistically significant tumor responses were fully
15 supported in other studies of the same sex and species
16 and strains. I'd also like to point out that none of
17 the statistically significant responses were
18 particularly strong. In fact, if you make a
19 reassignment of one or, at most, two animals in any
20 study, you would change the result from significant to
21 nonsignificant. All I'm saying from that is these
22 responses, we're saying they're statistically
23 significant, they're not strong responses at all.

24 Thank you.

1 **DR. JIM MCMANAMAN:** Thank you, Dr.
2 Crump. Dr. Parsons.

3 **DR. BARBARA PARSONS:** First, I'd like
4 to say, I totally agree with that. The magnitude of
5 the effects that we're talking about are small.
6 Regarding this charge question, the document concluded
7 that the observed tumor responses correspond to
8 common, spontaneous tumor types, and are unrelated to
9 glyphosate treatment. In my opinion there is
10 sufficient evidence to conclude glyphosate is a weak
11 rodent carcinogen at high-doses. And in my opinion,
12 31 mg per kilogram per day is still a high dose. I'm
13 going to throw out one more example of why I think
14 this of the five mouse studies, and I discount one
15 because they only had 10 animals.

16 **DR. LAURA GREEN:** Fair enough.

17 **DR. BARBARA PARSONS:** Two found
18 increases in lymphocytic hyperplasia and three found
19 increases in lymphoma. I Interpret the totality of
20 the tumor data, as supporting the hypothesis, that
21 glyphosate causes the promotion or progression of
22 common, spontaneous lesions.

23 Regarding uncertainties associated with
24 the agency's overall weight-of-evidence, to my mind,

1 the lack of correction for survival also factored into
2 my evaluation of the potential significance of the
3 observed tumor responses. Because I think that they
4 may become more significant if you correct for
5 decreased survival. Even though it may not have been
6 statistically significant decrease survival, I think,
7 in some cases there was decreased survival in the
8 high-dose groups. But in any case, I think that's the
9 data that we should be looking at. That's all I have.

10 **DR. JIM MCMANAMAN:** Thank you, Dr.

11 Parsons. Dr. Portier.

12 **DR. KENNETH PORTIER:** Thank you. You
13 know, when I first looked at this question, I didn't
14 write anything down, because I suspected the answer to
15 this question would come out of the earlier
16 discussion. I think that's what I'm looking at.

17 When you look at this section, it has
18 like six paragraphs. I don't have a problem with
19 paragraph one. Paragraphs 2 and 3, you have a lot of
20 discussion. The report has a lot of discussion on the
21 pairwise statistical significance, the lack of
22 monotonic dose response, the historical control
23 information. And I think the panelists weighed in on
24 all of those things. For example, pairwise tests

1 significance, we really think if the trend test is
2 significant, that's sufficient. And that's in your
3 guidance and you should run by these rules.

4 We don't see the guidance talking
5 about monotonic responses. We're not sure that
6 argument fits in here unless you're going to do this
7 in a more formal way. And then we just had the
8 discussion on historical controls; and I think the
9 panel is kind of leaning towards being extremely
10 conservative in how you use historical controls.

11 I think there's some issues -- even if
12 you use historical controls, you potentially use them
13 in the wrong statistical way in this document, you'd
14 have to turn that around.

15 And parts of paragraph 5 follow
16 paragraph 2 and 3 in the discussion on testicular
17 tumors. You also have this mentioned.

18 In paragraph 4, the last sentence says,
19 "In the mouse, the increase in the incidence of renal
20 tumor, hemangiosarcoma, lung adenomas, malignant
21 lymphomas and hemangiomas were reported only in a
22 single study; and findings were not seen in the four
23 other studies conducted in CD-1 mice at similar or
24 higher doses."

1 I think we heard in Dr. Parsons
2 presentation, just a little while ago, that I think
3 she would disagree with that statement for lymphomas,
4 right. There are five studies in the CD-1 male mice
5 and we see tumors in three of them. And then she
6 reported that the other two studies had premalignant
7 lesions in the study discussion. I think we're going
8 to have to disagree with that statement as well.

9 And then in paragraph 5, there's kind
10 of a two-part paragraph. If you move the discussion
11 on testicular tumors out, you're left with this
12 beginning of a discussion of risk assessment. I think
13 the discussion in the last section, we kind of
14 concluded that the high doses are maybe relevant for
15 hazard considerations, but they don't have to be
16 relevant in dose response.

17 Once you make a hazard declaration and
18 you move to dose response, when you're actually doing
19 the modeling to find a point of departure and all the
20 stuff, you don't have to pay any attention to the
21 highest dose, because you can argue that it is way
22 outside human relevance at that point, right? And fit
23 your dose-response model to the more relevant doses to
24 get your point of departure for your cancer slope

1 factor, or whatever.

2 I think that section, you need to think
3 about what's going on there because again, you're
4 missing a risk -- you're kind of putting a risk
5 assessment statement in with a hazard discussion. And
6 in the last paragraph, it's three sentences. I think,
7 from what I've heard, the panel kind of disagrees with
8 the first sentence and agrees with the last two
9 sentences.

10 We kind of disagree that based on the
11 weight-of-evidence, the Agency has determined any
12 tumor findings observe, for glyphosate, are not
13 considered treatment-related. I think we've been
14 arguing that some of them are -- even at the high
15 doses, we're not willing to throw them out.

16 But that tumor findings observed at the
17 highest dose were also not replicated, reproduced in
18 studies, and some animal strains or higher doses. And
19 that's a matter of the data. There are situations
20 where it shows up in one high dose and doesn't show up
21 in another study.

22 And then the last statement, "Even if
23 the high dose tumors were considered treatment-
24 related, these findings are not considered relevant

1 for human risk assessment based on use pattern and
2 potential exposures." And that would be part of the
3 risk assessment, not a hazard assessment. And I'll
4 try to write all that down. I got most of it written.

5 **DR. JIM MCMANAMAN:** Thank you, Dr.
6 Portier. Dr. Green.

7 **DR. LAURA GREEN:** I'd like to give some
8 specific recommendations, please, to, I think, the
9 same page that Dr. Portier was just alluding to. It's
10 page 96 of your document, the first full paragraph
11 that's starts, "When looking across the studies." if
12 I can just help a little bit.

13 Starting on the third line there, "With
14 the exception of testicular tumors in SD rats."
15 First, you shouldn't say testicular tumors. That's
16 too vague a phrase. It's actually the interstitial
17 tumors or Leydig cell tumors. You can use either
18 phrase, but testicular tumors is too broad and
19 potentially misleading; because there are some types
20 of testicular tumors that are, in fact, of
21 significance, but these are not them.

22 Second, you give four reasons here for
23 considering them to be less relevant, and I would
24 suggest you may want to rewrite these. "First, you

1 say that testicular..." -- and again, you should say
2 interstitial testicular or Leydig cell -- "...tumor
3 data do not show monotonic dose response." Please
4 eliminate that. As Dr. Crump and others have said,
5 that's not a meaningful reason to discount a finding.

6 Your second reason, you say, "The
7 concurrent controls appear to be unusually low for
8 this tumor." That's correct, but -- well, you can
9 leave that in. That's correct.

10 Next, you say, "There were no
11 neoplastic or related nonneoplastic lesions." Again,
12 I'm not sure that that's relevant for Leydig cell
13 tumors. I would not include that as a reason to
14 discount it.

15 Then you say, "And this tumor type was
16 not seen in other studies at doses up to 35-fold
17 higher in the same strain of rat." Obviously, that's
18 critical and I would recommend you keep that in.

19 I would also recommend that you cite
20 the work by pathologists such as Gary Boorman, B-O-O-
21 R-M-A-N, who wrote in the 1980s, I believe. And there
22 are many others who have cited him and followed on his
23 work.

24 With regard to the specific pathology

1 of finding, Leydig cell tumors in the aged Sprague
2 Dawley rat -- and I would remind you that, as Dr.
3 Parsons pointed out, which I had not noticed, Lankas
4 et al. ran their study for 26 months, which is very
5 unusual and highly likely the reason that these Leydig
6 cell tumors appeared in excess.

7 The pathologist, like Dr. Boorman, have
8 shown, using thousands of control untreated Sprague
9 Dawley rats, that it is extremely difficult to
10 differentiate pathologically between hyperplasia in
11 interstitial spaces in the male Sprague Dawley aged
12 rat. It is extremely difficult to distinguish between
13 hyperplasia and bona fide tumors, especially with
14 small lesions.

15 And in fact, a rule of thumb, among
16 pathologists and cancer biologists, is bona fide
17 carcinogens. If they in fact are testicular
18 tumorigens in the rat, you should look in the Fisher
19 rat, the F344 in particular, which is a more reliable
20 indicator of testicular tumorigenesis than the Sprague
21 Dawley. I would urge you to cite at least a Boorman
22 et al. or others, because there's a very rich
23 pathology literature on this specific tumor, in this
24 specific outbred strain of rat.

1 I would say one more thing. I would
2 like to apologize to Dr. Lowit and the rest of EPA,
3 for my ignorance, at least, on OECD Guidelines for
4 carcinogen bioassays. I was completely ignorant of
5 those. And now you mention it, I did remember that
6 the German fella, and the French gal, both mentioned
7 the limit dose of the gram per kg.

8 I was actually confused by that. I
9 would continue to argue as a scientist, especially
10 with regard to a non-toxic compound like glyphosate,
11 that that limit dose makes no scientific sense. But I
12 was unaware that you were bound by a policy decision
13 made by the Europeans. I would add, maybe this is why
14 toxicologists in Britain maybe voted for Brexit.

15 **DR. JIM MCMANAMAN:** Thank you, Dr.
16 Green. Other comments?

17 Okay. Dr. Sheppard.

18 **DR. LIANNE SHEPPARD:** I just wanted to
19 add my voice to this. As we've heard, I think most
20 eloquently stated by Dr. Trump, the weight-of-evidence
21 analysis --

22 **DR. JIM MCMANAMAN:** I think that that's
23 Dr. Crump.

24 **DR. LIANNE SHEPPARD:** Now I'm doing it

1 too.

2 DR. KENNY CRUMP: I'm getting used to
3 this. I think there may be ways to use it to my
4 benefit.

5 DR. LIANNE SHEPPARD: My apologies, Dr.
6 Crump. The weight-of-analysis evidence
7 inappropriately discounts high doses and did not take
8 into account the full spectrum of results in all the
9 studies reporting on a specific outcome species and
10 gender. We didn't see, in the document, any of the
11 other study results for any particular outcome, other
12 than the ones that popped out as significant, and
13 that's problematic. We've made suggestions about
14 addressing that.

15 The analysis inappropriately uses
16 historical controls and takes into account
17 nonstatistical criterion for monotonicity. It
18 inappropriately discounts trend tests when pairwise
19 tests don't inform the conclusions. Multiple aspects
20 of the analysis do not appropriately reflect the
21 guidelines.

22 The strength of the evidence assessment
23 should either follow the guidelines that the evidence,
24 of even one outcome in one study, in one species, is

1 evidence of carcinogenic potential; or it should
2 explicitly recognize the large number of studies for
3 this compound and combine them to provide the best
4 possible evidence from the large number of studies.

5 And I want to acknowledge that, as I
6 think we've said earlier, this is kind of new ground
7 for you guys. The guidelines are for a body of
8 evidence where you have one or two studies. And so
9 here you're faced with a whole lot of them. You
10 can't, in the same way, I think, rely on the
11 guidelines. I think you either fall back on them and
12 take them at face value, which means, as I said, one
13 study, one outcome, that's enough. Or you do what is
14 statistically more valid, which would be to take all
15 the evidence from all the studies that ask a specific
16 question, which is within a species and probably
17 within a gender, and certainly within a health
18 outcome, a specific cancer, and then you pool them.

19 And if there's meaningful heterogeneity
20 between the studies, you know, there are ways to deal
21 with that appropriately. There's not a difference of
22 opinion, I think, or different recommendation.
23 There's no conflict by Dr. Parsons saying oh, there's
24 a fair amount of heterogeneity in these studies due to

1 this, that and the other thing, as she said earlier.

2 And my recommendation is that you pool
3 them in statistical, you know, tools for meta-
4 analysis, which is one of the ways you pool them. You
5 can explicitly account for heterogeneity with, for
6 instance, a random effect. There are ways to deal
7 with that appropriately that should be done.

8 I also note that looking ahead to a
9 risk assessment, there's another value in doing that.
10 Because a pooled analysis would give you a much more
11 stable estimate of dose-response than you're getting
12 from any one study of 200 animals. That's another
13 reason to do the pooling, because that's what you
14 really care about, is the dose response. And you need
15 to figure out how to get down to the low end and what
16 the point of departure is. If you can get that
17 function well estimated, then you have a much better
18 ability to figure that out in the risk assessment.

19 With respect to details in the text, a
20 couple of things I wanted to make sure that we mention
21 is, you know, there are never any explicit weights
22 given for the weight-of-evidence analysis. We don't
23 really know what's a high weight thing and what's a
24 low weight thing. And that, I think, is problematic.

1 And I think statements of evidence -- I
2 quoted part of a sentence -- "Appear to be unusually
3 low." Those should either be removed or backed up
4 with a P value as statistical evidence. I don't think
5 it's appropriate to, in a conclusion, say this appears
6 something with no way to really understand what that
7 means, statistically. And I also think that evidence
8 regarding human exposure is not evidence that should
9 be used for determining whether animal data gives
10 evidence of a hazard.

11 And finally, I think the last sentence
12 -- and I'm saying this more directly than my colleague
13 Dr. Portier did. The last sentence in Section 4.8
14 should be struck from the document because it is not
15 relevant for hazard assessment.

16 **DR. JIM MCMANAMAN:** Thank you, Dr.
17 Sheppard. I think you've heard a full discussion of
18 this charge question. I'll go back to the Agency and
19 see if there are any clarifying issues that need to be
20 considered.

21 **DR. ANNA LOWIT:** This is Anna Lowit.
22 No clarifying issues. Thank you.

23 **DR. JIM MCMANAMAN:** All right. Thank
24 you. Okay. With this, we'll move on to Charge

1 Question 4. And if we can have that read in.

2 **DR. ANWAR DUNBAR:** This is Dr. Anwar
3 Dunbar and I'm going to read Charge Question 4.

4 As part of its analysis, the Agency has
5 considered almost 200 assays investigating the
6 genotoxic potential of glyphosate. Of these, 107 were
7 performed with the active ingredient glyphosate.

8 These included in vitro and in vivo studies from the
9 open literature, as well as studies submitted to the
10 agency that were conducted according to the Office of
11 Chemical Safety and Pollution Prevention/Organization
12 for Economic Cooperation and Development Guidelines.

13 Non-mammalian studies were excluded
14 from this analysis unless the assays were generally
15 recognized to inform the human carcinogenic potential
16 of glyphosate, in general, bacterial reverse mutation
17 assays. Studies evaluated genotoxic endpoints, such
18 as gene mutations in bacteria and mammalian cells,
19 chromosomal aberrations, micronuclei formation, and
20 other assays measuring DNA damage.

21 Question (a) reads as follows: Please
22 comment on the agency's review and evaluation process
23 of relevant genotoxicity studies to inform the human
24 carcinogenic potential of glyphosate, including the

1 decision to exclude non-mammalian studies, such as
2 those in reptiles, plants, worms, or fish, and except
3 those generally recognized to inform human
4 carcinogenic potential.

5 **DR. JIM MCMANAMAN:** Thank you, Dr.
6 Dunbar. The discussants on this are doctors Parsons,
7 Shaw, and Zhang. Dr. Parsons is lead.

8 **DR. BARBARA PARSONS:** So Dr. Shaw had
9 to leave, but he read my comments and then he gave me
10 his comments to add to those. I'll be giving those.
11 I believe the rodent data supports the conclusion
12 that, at high-dose, dietary exposure to glyphosate can
13 cause promotion progressing of pre-existing
14 spontaneous lesions.

15 Studies in non-mammalian species would
16 be of interest in terms of understanding potential
17 underlying mechanisms of promotion or progression.
18 Clearly, such studies should be given less weight in
19 the determination of whether or not glyphosate is
20 likely to be genotoxic in humans. Those were my
21 comment. And as I said, these were shared with Dr.
22 Shaw and actually, his comments are more extensive.

23 He says, "I agree and have only little
24 to add. I think the review and evaluation process of

1 genotoxicity studies is sufficient, given the limits
2 of the accepted assays. I do want to make one
3 comment, and admittedly, it likely doesn't add value
4 to your process, but highlights what I see as a
5 deficiency in the assays that are available to you.

6 I don't think any of the assays
7 employed provide an unbiased measure of structural
8 mutations, especially smaller ones, i.e. insertions,
9 deletions and rearrangements that give rise to copy
10 number variants, which require sequence-based
11 approaches to resolve. I raise this issue for five
12 reasons.

13 1) the mutational classes mentioned in
14 the first paragraph of Section 5 of the draft report
15 as a type of mutation that will be evaluated..."
16 perhaps this should be described better.

17 I think it talks about detecting
18 insertions, deletions and rearrangements. He thinks
19 that the tests that were described don't fully measure
20 the types of damage mentioned in that paragraph. And
21 I'm reading this into the record and he will, you
22 know, provide a more cogent description of his
23 arguments in the report. Copy number variations which
24 arise -- this is point number two. "Copy number

1 variation which arise both mitotically and somatically
2 are now known to form at rates much higher than other
3 types of mutations."

4 3) "these are formed by mechanisms that
5 differ from base substitution, including inhibition of
6 replication, which some studies have reported for
7 glyphosate." That's why he thinks this is relevant.

8 4) "structural mutations contribute at
9 least as much, and likely more, to human variation as
10 base pair substitution mutations, including reported
11 strong associations of copy number variations with
12 many cancers, cancer risk factors and also mechanisms
13 for promotion.

14 5) there seems to be some evidence that
15 structural mutations contribute to response to
16 glyphosate exposure." Here he's talking about plants
17 and amphibians that develop resistance to glyphosate.
18 I think his point is often that the mechanism for that
19 is amplification copy number variation. That was the
20 end of his comments on this particular question.

21 **DR. JIM MCMANAMAN:** Thank you, Dr.
22 Parsons. Dr. Zhang.

23 **DR. LUOPING ZHANG:** Yes. I actually,
24 totally agree with Dr. Parsons and Dr. Shaw's

1 comments. I had an immense discussion and
2 conversation with Dr. Shaw last night. And if I can
3 elaborate a little bit more about that. After the
4 intro in that section, you describe the mutation. You
5 include everything about what the mutation is about,
6 deletion, duplication, amplification, whatever. But
7 with the data we have for glyphosate and mutation,
8 it's only -- actually, the data we have is only like
9 an M test. I don't even know if you have HBRT.

10 It's not the 21st Century mutation we
11 actually talked about. That's why Dr. Shaw wants to
12 really put that. You either have to specifically say
13 what's the mutation you're including. You actually
14 say, oh, you gave a spectrum of everything and then
15 you elaborate on that. I'm just trying to clarify, if
16 I may.

17 **DR. BARBARA PARSONS:** I think they're
18 studies -- not HBRT.

19 **DR. LUOPING ZHANG:** Yeah. TK, that's
20 actually. Sort of.

21 **DR. JIM MCMANAMAN:** That was Dr.
22 Parsons.

23 **DR. LUOPING ZHANG:** Okay. Also,
24 another point that you really like to make is maybe

1 where -- currently we lack the data for the mutation
2 because it is, you know, the mutation he mentions is
3 highly sequencing a base technology. But what we
4 didn't know is now there. That's question number one.

5 Now, question number two; from his
6 view, he saw glyphosate actually could cause a
7 duplication or amplification, which could cause copy
8 number variation that's already seen and readily
9 reported in species. That could be as a potential
10 mechanism of action, you know, the Agency should
11 consider. So basically, I just tried to elaborate a
12 little bit more about what Dr. Shaw was writing here.

13 Now back to my own comment, I totally
14 agree with my panel members, but I would like to add,
15 at the least, to question 4, the rest of our members
16 and the Agency to think about two other studies from
17 human monitoring studies; it's on the Bonassi (2009),
18 which I think, actually is a pretty good study. I
19 mean, both: Bonassi (2009) and Curasi (phonetic)
20 (2014). It seems to have two studies kind of ignored
21 from the genotoxicity. So Bonassi (2009) measures
22 binucleated micronuclei in the Columbian farmers.

23 But what they really did, I think the
24 beauty of this study, is that they're using farmers

1 themselves as control. Before they apply the
2 glyphosate products, you know, taking the blood and
3 measuring the micronuclear level, and then five days
4 after, and following up four months after. You are
5 your own controls. I actually think for the human
6 monitoring, that's pretty good data. They did
7 actually measure the increase of the micronuclei
8 frequency after the farmers are exposed to glyphosate.

9 I think this is kind of valuable data.
10 I just think we should consider. At least, I'm
11 raising the question for other panel members to think.
12 This is one.

13 And also, to me, when I look at Table
14 3, I think, maybe I forget exactly the number for this
15 study, also they see the trend. You know, in some
16 area, you know, after five days, you see the increase,
17 the significant increase of micronuclei compare with
18 them before they're exposed. And then four months
19 after, you know, some groups, they increase it even
20 more. But some other group, they didn't see the
21 consistent increase after four months. But I have a
22 biological explanation for that.

23 Micronuclei, if you have a single
24 micronuclei, but when cells go to the next division,

1 you may lose the micronuclei. It really depends on
2 each person's response about how long the micronuclei
3 recycle in your cells. It could be if you wait long
4 enough, you may not see, you know, consistent
5 increase. I think it's still okay.

6 But anyway, if you look at the data
7 analysis from four months, compared with before they
8 applied the glyphosate, it's still a significant
9 increase. I feel this piece is maybe the only human
10 data we should heavily consider. That's one study.

11 Second is Curasi (2014). This study,
12 they measure the genotoxicity as 8-hydroxy
13 deoxyguanosine as the DNA damage. It looks like they
14 also see the increase of the level 8-hydroxy
15 deoxyguanosine from glyphosate, one or more
16 applications compared with no applications. Relative
17 risk is 1.47, but, you know, it's not statistically
18 significant because 95 percent confidence interval is
19 from .78 to 2.77. But I still think that human
20 monitoring data is not easy to obtain. I still think
21 that it's important information to be included. We
22 can discuss how we should interpret the human data,
23 but I just don't think that we should discard it.

24 That's all my comment.

1 **DR. JIM MCMANAMAN:** Thank you, Dr.

2 Zhang. Comments from other panel members?

3 Dr. Taioli.

4 **DR. EMANUELA TAIOLI:** Go back to the
5 previous sections, are there in vitro studies showing
6 -- I mean, looking at promotion? Because you guys are
7 all talking about genotoxicity because it's oxidative
8 stress or micronuclei, right. There is nothing
9 looking at tumor promotion in the in vitro model?

10 **DR. BARBARA PARSONS:** I don't think
11 there is such a test for promotion in vitro.

12 **DR. LAURA GREEN:** Yeah, that's right.
13 Remember, though, there is that one skin bioassay with
14 20 animals that we want more information from the
15 Agency on. Or one of us should just go read George et
16 al., whatever year it was.

17 **DR. JIM MCMANAMAN:** Okay. Other
18 comments? If not, thank you, Dr. Zhang. I think you
19 raised some very important points, especially related
20 to the human data, though. I hope you'll be able to
21 cite those references in your write-up. I'll go back
22 to the Agency then.

23 **DR. GREG ACKERMAN:** This is Greg
24 Ackerman. No clarifying questions.

1 DR. JIM MCMANAMAN: Thank you.

2 DR. ANNA LOWIT: This is Anna Lowit. I
3 wanted to make two quick comments. That the George
4 paper that came up a couple times, remember, it's in a
5 formulation; it's not the active ingredient. The
6 interpretation of the George study is complicated by
7 all the other things in that formulation.

8 DR. LAURA GREEN: But was it positive
9 or negative?

10 DR. ANNA LOWIT: I don't know.

11 DR. BARBARA PARSONS: I think it was
12 positive, but as Marion pointed out on the phone,
13 again, the small number of histopath, she, I think,
14 agreed with us that it shouldn't be considered,
15 because it's just not reliable information based on
16 how the study design was set up. That you would need
17 another study to really get at the promotor
18 speculation that's been kind of running around.

19 DR. ANNA LOWIT: So I want to speak to
20 the speculation issue.

21 One of the distinct differences between
22 the regulatory science arena and the academic science
23 arena, is that we have to deal with the data we have
24 in front of us. We have to be honest about the

1 uncertainties that we have. But if you can all be
2 cognizant that we cannot fill our documents with
3 hypotheses and speculation. That if there are
4 tangible logical next steps that can be taken, that's
5 useful feedback; but throwing out hypotheses, for
6 which there are no data, is not that useful for us.

7 There's a fine line between being
8 honest about your uncertainties in helping us take the
9 next step, and what those steps are, and crossing over
10 into making speculative comments. So, if you can be
11 careful with that.

12 **DR. JIM MCMANAMAN:** I want to make sure
13 that this is clear to the panelists. Dr. Taioli.

14 **DR. EMANUELA TAIOLI:** I think you are
15 right. On the other side, I want to have on the
16 record that we look at this George. Because when
17 there is only one study, we have to be very careful
18 with this regard for some reason. We have to evaluate
19 very carefully because it's the only thing we have.
20 The same with Bulanasi.

21 **DR. LAURA GREEN:** Can I ask Steve Knott
22 to possibly provide the George study during the lunch
23 break?

24 **MR. STEVEN KNOTT:** Sure.

1 DR. LAURA GREEN: Thank you.

2 DR. JIM MCMANAMAN: You can ask
3 anything.

4 DR. MARION EHRICH: Can I make a
5 comment? It's Marion Ehrich on the phone.

6 DR. JIM MCMANAMAN: Marion? Okay. Go
7 ahead.

8 DR. MARION EHRICH: I heard the EPA.
9 That's really true about their documents. We can note
10 that they noted the deficiencies of the data and I
11 think that came through in the document that they
12 wrote, the White Paper that we all read. But they're
13 not in the position to do something about it or make
14 judgments, you know, hypothesis, I would agree with
15 that statement.

16 You know, sometimes there's limited
17 data and we have to deal with it. And I think they've
18 done a really good job of noting such when they wrote
19 the White Paper.

20 DR. JIM MCMANAMAN: Thanks, Marion.

21 DR. LUOPING ZHANG: Yes. Actually, I
22 discussed it with Dr. Shaw about this because
23 sometimes we don't have data, you can't do anything
24 about it. But what he would say, if that's the case,

1 under the intro section you should redefine, or
2 specifically define, your mutation. That's the thing
3 I think you can do.

4 But another thing is now because where
5 in the genotoxicity section for Charge Question No. 4,
6 I think it would still be useful to have a paragraph
7 to say, okay, here, you know, maybe genotoxicity is
8 not the measure. I think it's still good to have
9 other potential mechanism. At least it should have a
10 statement to say other potential mechanism of action
11 not tested yet, but it could be possible. That's
12 basically one thing.

13 And also, I forgot to mention one more
14 thing about Bonassi (2009) study. I also think I was
15 showing the data to my neighbor and actually, the data
16 looks like somebody with a biostatistics background
17 should also look at the trend test. The data, to me,
18 you know, somebody should do, either Agency or any
19 biostatistician on the panel, to look in a little bit
20 of detail in Bonassi (2009) study in which they didn't
21 do the trend test.

22 **DR. JIM MCMANAMAN:** Thanks, Dr. Zhang.

23 Okay. I think that with that, we can
24 move on to the next charge question, 4(b).

1 DR. LAURA GREEN: This is not open to
2 the other panel members?

3 DR. JIM MCMANAMAN: This is open to the
4 panel members. The panel members have been discussing
5 this for quite a while.

6 DR. LAURA GREEN: Yeah. I actually had
7 a question and this is going to show my ignorance. My
8 vague understanding of the utility of non-mammalian
9 species includes often the zebra fish, which I
10 understand is a model for carcinogenicity in many
11 settings.

12 And I want to ask both the panel and
13 EPA whether there are any tests of glyphosate in
14 zebrafish; and if so, if those were examined.

15 DR. JIM MCMANAMAN: I don't remember
16 hearing that presented.

17 DR. GREG ACKERMAN: Well, we didn't
18 present that. There may be one or it may be with the
19 formulation. I'm not really sure. I can't remember
20 off the top of my head.

21 DR. LAURA GREEN: (Off mic).

22 DR. GREG ACKERMAN: No.

23 DR. LAURA GREEN: (Off mic).

24 DR. GREG ACKERMAN: Yeah, because we

1 didn't consider the nonmammalian --

2 **DR. LAURA GREEN:** Am I wrong that
3 researchers believe that the zebra fish can be a
4 reliable model for human tumor genecity?

5 **DR. GREG ACKERMAN:** Yeah. I mean, I've
6 seen it used for models for so many things, but I'm
7 not sure.

8 **DR. JIM MCMANAMAN:** Okay. I thought we
9 concluded this and went back to the Agency for
10 clarification, and then we had additional discussion.
11 I think it's coming on me to go back to the Agency to
12 ask if anything has been unclarified then --

13 **DR. ANNA LOWIT:** No. Let's keep
14 moving.

15 **DR. JIM MCMANAMAN:** Okay. Good deal.
16 All right. So, 4(b).

17 **DR. ANWAR DUNBAR:** This is Dr. Anwar
18 Dunbar. I'm going to read Charge Question 4(b).

19 Consistent with the OECD guidance, in
20 vivo findings in genetic toxicology testing are
21 considered as having a greater relevance to humans
22 than in vitro findings. Consistent with 2005 Cancer
23 Guidelines, all available data were considered in the
24 weight-of-evidence evaluation of the genotoxic

1 potential for glyphosate. The relevant studies are
2 summarized in tables 5.1 to 5.7. Please comment on
3 the agency's approach for evaluating the genotoxicity
4 data.

5 **DR. JIM MCMANAMAN:** Thank you, Dr.
6 Dunbar. The discussants on this are doctors Parsons,
7 Shaw, and Zhang. Dr. Parsons is lead.

8 **DR. BARBARA PARSONS:** So my comments on
9 this is, the Agency has assembled and evaluated
10 relevant genotoxicity data in an appropriate manner.
11 Full stop.

12 And I have Dr. Shaw's comment as well.
13 And his is, "Agreed with the already noted limits
14 mentioned to Question 4(a)."

15 **DR. JIM MCMANAMAN:** Thank you. Dr.
16 Zhang.

17 **DR. LUOPING ZHANG:** One word: agreed.

18 **DR. JIM MCMANAMAN:** Thank you, Dr.
19 Zhang. Now, let me make sure; the question is now
20 open to the remainder of the panel for discussion if
21 anyone has any comments. Dr. Portier.

22 **DR. KENNETH PORTIER:** This is
23 interesting reading.

24 **DR. JIM MCMANAMAN:** Yeah.

1 **DR. KENNETH PORTIER:** I just want to
2 point out the discussion earlier on multiple
3 comparisons. We must have what is it, 600 tests here,
4 and so finding two or three significant tests
5 shouldn't raise any big concerns. I mean, they just
6 point to something, but I don't see any big patterns
7 in this. I just wanted to point that out.

8 **DR. JIM MCMANAMAN:** Thank you, Dr.
9 Portier. Other comments?

10 If not, then we'll go back to the
11 Agency if you need additional clarification.

12 **DR. ANNA LOWIT:** No. All is good.
13 Keep going.

14 **DR. JIM MCMANAMAN:** That was Dr. Lowit.
15 Okay. So now we're on Charge Question 4(c).

16 **DR. ANWAR DUNBAR:** This is Dr. Anwar
17 Dunbar. I'm going to read Charge Question 4(c).

18 As described in section 1.4, oral
19 exposure is considered the primary route of concern
20 for glyphosate and high-end estimates of exposure
21 ranged from 0.47 to 7 mg/kg/day. Please comment on
22 the human health relevance of the genotoxicity
23 findings with respect to the doses where effects were
24 observed and the route of administration.

1 **DR. JIM MCMANAMAN:** Thank you, Dr.
2 Dunbar. The discussants on this are doctors Parsons,
3 Shaw and Zhang. Dr. Parsons is lead.

4 **DR. BARBARA PARSONS:** The genotoxicity
5 studies were conducted at sufficiently high doses; and
6 there are a sufficient number of negative studies where
7 glyphosate was administered through the oral route to
8 support the agency's conclusion that glyphosate is not
9 genotoxic. Positive findings in a few very high dose
10 IP studies may represent secondary effects of high-
11 dose toxicity, which would not have human health
12 relevance.

13 I shared this response with Dr. Shaw,
14 who agreed and indicated he had no additional comment.

15 **DR. JIM MCMANAMAN:** Thank you. Dr.
16 Zhang.

17 **DR. LUOPING ZHANG:** Again, one word:
18 agreed.

19 **DR. JIM MCMANAMAN:** Thank you, Dr.
20 Zhang.

21 **DR. LUOPING ZHANG:** Actually, I wrote I
22 strongly support Dr. Parsons comments.

23 **DR. JIM MCMANAMAN:** Okay. This is now
24 open for comments by the panelists.

1 Okay. Seeing none, then -- oh. Dr.
2 Portier.

3 **DR. KENNETH PORTIER:** Yeah, I'm not
4 sleeping back here.

5 **DR. JIM MCMANAMAN:** Okay.

6 **DR. KENNETH PORTIER:** Just because it's
7 geno, it doesn't mean -- if I remember correctly, in
8 some of the public presentations there was discussion
9 about the mechanisms of action for which -- like IARC
10 considers cancer program -- mechanisms that drive
11 cancer, one of which was the oxidative stress. And
12 this whole section is genotoxicity, but do we have
13 anywhere any data that would discuss some of these
14 other mechanisms like inflammation or oxidative
15 stress?

16 **DR. LUOPING ZHANG:** The oxidative
17 stress actually, I think, IARC really included. It is
18 the human monitoring data your agency didn't include?
19 Yeah, that's what I think.

20 The human data, when they measured the
21 8-hydroxy deoxyguanosine, that's an indicator for the
22 oxidative DNA damage.

23 **DR. KENNETH PORTIER:** And that was
24 positive or a negative?

1 **DR. LUOPING ZHANG:** It is increased
2 regulatory risk, but nonsignificant. But from the
3 measure, the level of 8-hydroxy deoxyguanosine -- I
4 was just looking at -- it's basically double the
5 amount from -- okay, I can give you an exact. It's
6 increased from 27.9 percent from long-term glyphosate
7 users to 43.8 in one or more times of using the
8 glyphosate. That's their actual amount. But I
9 actually think that could be IRAC conclusion for
10 oxidative stress.

11 I don't know how much the animal data -
12 - I don't see that they have the animal data. That's
13 why I thought it was a human monitor data.

14 **DR. LAURA GREEN:** Can I add --

15 **DR. JIM MCMANAMAN:** Wait a minute.
16 That was Dr. Zhang and Dr. Portier. This is now Dr.
17 Green.

18 **DR. LAURA GREEN:** Sorry. The EPA
19 document actually discusses this very point. And I
20 believe this is a point in which NTP has been asked to
21 weigh in because they know a lot about various
22 oxidative stress assays. I mean, much more than I do.
23 And I think the NTP group told EPA that the evidence
24 was equivocal and they were going to think about it

1 some more. Am I sort of right about that?

2 **DR. BARBARA PARSONS:** Yes. If you look
3 in Section 7, about our NTP collaboration, they do
4 have experts in oxidative stress and felt that the
5 existing database on that issue is not robust.

6 **DR. JIM MCMANAMAN:** Okay. Dr. Jett.

7 **DR. DAVID JETT:** I was just going to
8 say, I asked this question when I think you weren't
9 here in the beginning, and it's just not a whole lot
10 of data was the answer, I think. And so, it wasn't
11 really included as evidence.

12 Well, in general, that whole
13 mechanistic evidence stream wasn't really included in
14 the analysis. And you might be able to correct me or
15 update me.

16 **DR. JIM MCMANAMAN:** Dr. Parsons.

17 **DR. BARBARA PARSONS:** I just agree that
18 that is the case, but the reason is because the
19 document concludes that glyphosate has no carcinogenic
20 potential, and so there was really no -- I'm assuming
21 that it.

22 **DR. LUOPING ZHANG:** One more. If I
23 remember correctly, the first day when you presented
24 the genotoxicity data, basically, it's saying if you

1 only measure 8-deoxyguanosine that doesn't really
2 reflect the real oxidative for stress. That's my
3 intake from what you presented.

4 **DR. JIM MCMANAMAN:** Okay. Thank you,
5 Dr. Zhang. Other comments related to this?

6 If not, then I'll go back to the
7 Agency.

8 **DR. ANNA LOWIT:** We don't have any
9 clarification, but just to answer Dr. Parsons question
10 that she asked to us. We maintain an active
11 literature search on glyphosate and its formulations.
12 And there is just a paucity of systematic and reliable
13 mechanistic kind of information that you can put
14 together. It's not that we ignored it because
15 remember, we're going to also be doing non-cancer
16 assessment at the same time for reg review. And so
17 many of those studies would be relevant for non-
18 cancer, too. We didn't ignore anything because it
19 didn't relate to what we're doing today.

20 **DR. JIM MCMANAMAN:** That was Dr. Lowit.
21 Thank you. I think we'll go to the next Charge
22 Question, 4(d).

23 **DR. ANWAR DUNBAR:** This is Dr. Anwar
24 Dunbar and I'll be reading Charge Question 4(d).

1 Please comment on the strengths and
2 uncertainties associated with the agency's overall
3 weight-of-evidence and conclusions based on the
4 available genotoxicity studies, as described in
5 Section 5.7.

6 **DR. JIM MCMANAMAN:** Thank you, Dr.
7 Dunbar. The discussants on this are doctors Parsons,
8 Shaw and Zhang. Dr. Parsons is lead.

9 **DR. BARBARA PARSONS:** The agency's
10 conclusion that the overall weight-of-evidence
11 indicates there is no convincing evidence that
12 glyphosate induces mutations in vivo, via the oral
13 route, is sound. Areas of remaining uncertainty are
14 related to the potential for glyphosate-induced
15 inflammation, DNA damage, genotoxic effect secondary
16 toxicity caused by high dose exposures.

17 For example, glyphosate-induced
18 oxidative stress 8-Oxo-dG and sister chromatid
19 exchange, and whether the glyphosate containing
20 formulations have any genotoxic potential.

21 Let me see. And Dr. Shaw said, "I have
22 nothing to add to the response to the charge
23 question."

24 **DR. JIM MCMANAMAN:** Thank you, Dr.

1 Parsons. Dr. Zhang.

2 **DR. LUOPING ZHANG:** Yes, I agree with
3 what Dr. Parsons just said. But I also, again, I
4 mean, I already mentioned this. I put a question, if
5 we still should mention some other potential genotoxic
6 relations. If the Agency doesn't want to hear, we can
7 eliminate that because we don't have data yet. But
8 here is Dr. Shaw's response to my question.

9 "This seems to be somewhat addressed
10 with my comment on the copy number variation." In
11 some way, I think Dr. Shaw and I are thinking in kind
12 of a similar direction. No data doesn't mean it's
13 negative data. Anyway, one thing I would like to
14 mention -- actually, Steve, can we mention the studies
15 just accepted? It hasn't been published yet.

16 Let's do this. I'd like to use some
17 example. Glyphosate looks like it's not strong.
18 Maybe nongenotoxic compound. But I think, definitely,
19 we couldn't exclude the other potential mechanism of
20 action. There is a study from Berkley, and it's from
21 my colleague, Dr. Daniel Nemiroff's (phonetic) group.

22 What they did is they applied the
23 active base, the protein profiling assay, a function
24 assay, to map the reactivity of glyphosate metabolite.

1 And glyoxylate is an aldehyde known to react with
2 nucleophilic amino acids on protein targets.

3 For example, assisting or nesting in
4 the in vivo. The in vivo in mice. They also show
5 that glyphosate can be metabolized, the in vivo to
6 glyoxylate, that will react with several cysteines
7 across many protein targets in mouse liver.

8 What they actually conclude is really
9 not my area, but this paper is going to be coming out
10 very soon. And I already sent the paper to Steve and
11 also our group. I haven't shared it with everybody.
12 But I want to just put this into the record.

13 Their conclusion is glyphosate exposure
14 can lead to inhibition of several fatty acid oxidation
15 enzymes. And second, glyphosate exposure can also
16 increase in the levels of several lipid metabolizing,
17 including trichlosaris (phonetic) and some other
18 yeast. I can't even say the chemical word. Sorry.

19 And then the third, glyphosate exposure
20 can't maintain the body temperature in their treated
21 mice. That's their major findings. The paper is
22 going to come out in the cell series, the chemical
23 biology. I just want to put it there.

24 I don't know what's the cut off of the

1 literature we should include or not include. But I'm
2 using this as an example. That could be a non-
3 genotoxic mechanism for glyphosate.

4 **DR. JIM MCMANAMAN:** We can have that
5 come into the record. But in regards to the charge
6 question, about the weight-of-evidence --

7 **DR. LUOPING ZHANG:** I did say I agreed.

8 **DR. JIM MCMANAMAN:** Okay. Great. All
9 right. Well, then I'll open it up to other panel
10 members for this charge question.

11 Okay. Seeing none --

12 **DR. LAURA GREEN:** Can I just say I
13 agree with the agency's view of this? And I would
14 like to reiterate, to the extent that relevant
15 mechanistic information would be on immunotoxicity, in
16 my mind, not genotoxicity, I would again like the
17 Agency to include the strengths and uncertainties in
18 weight-of-evidence, with regard to the immunotoxicity
19 of this compound.

20 **DR. JIM MCMANAMAN:** Thank you, Dr.
21 Green. Okay. Unless other panel members have a
22 comment? Then I'll go back to the Agency.

23 **DR. ANNA LOWIT:** No, it's clear. And
24 if possible, can we just keep moving?

1 DR. JIM MCMANAMAN: Yes.

2 DR. ANWAR DUNBAR: Okay. That ends
3 3(d). At this point -- 4(d), sorry. 4(d). We're
4 going backwards. We have some additional information
5 that Steve wants to read into the record.

6 MR. STEVEN KNOTT: Okay. This is just
7 a brief announcement. During the public comment
8 period yesterday, Dr. Marion Ehrich had a question of
9 one of the public commenters about the methodologies
10 used, and it's the analytical methodology for testing
11 levels of glyphosate in food products. This was
12 during the Moms Across America comment.

13 They didn't have the answer, and
14 actually, another commenter responded that they
15 thought it was the ELISA methods. We just received a
16 note that that was incorrect. The method is actually
17 the LC-MS/MS. I just wanted to put that into the
18 record. And the written comment will be included in
19 the public docket.

20 DR. JIM MCMANAMAN: Okay. We're now at
21 the last charge question, Charge Question 5. If I
22 could have that read into the record.

23 DR. ANWAR DUNBAR: This is Dr. Anwar
24 Dunbar, and I'm going to read Charge Question No. 5.

1 The modified Bradford Hill criteria
2 were used to evaluate multiple lines of evidence using
3 such concepts as strength, consistency, dose response,
4 temporal concordance, and biological plausibility. In
5 accordance with 2005 Cancer Guidelines, the agency
6 used weight-of-evidence analysis to characterize the
7 human carcinogenic potential of glyphosate and
8 determine which cancer descriptor is supported by the
9 data.

10 The Agency has described the strengths
11 and uncertainties associated with the choice of
12 various cancer descriptors with a focus on "suggestive
13 evidence of carcinogenic potential" and "not likely to
14 be carcinogenic to humans."

15 Please comment on the completeness,
16 transparency, and scientific quality of the agency's
17 characterization of the carcinogenic potential.

18 **DR. JIM MCMANAMAN:** Thank you. Dr.
19 Dunbar. The discussants on this are doctors Portier,
20 Green, Parson, Taioli, and Zeltermann. And Dr. Portier
21 is the lead.

22 **DR. KENNETH PORTIER:** Mr. Chairman, I
23 have about four pages of comments to read and it is a
24 quarter to 12:00, and we started at 8:00. And this is

1 the crucial question. I wanted to propose whether we
2 wanted to break early for lunch and come back a
3 quarter to 1:00, which would give us an hour and 15
4 minutes to complete this question.

5 **DR. JIM MCMANAMAN:** Well, normally I
6 would agree with that, but given the fact that there
7 are some early plane flights that panel members have
8 to catch, I'm hoping that we can go ahead with this.
9 And then maybe if we can finish up, then we can have
10 lunch. It's an incentive.

11 **DR. KENNETH PORTIER:** Okay. When we
12 break at 2:00 for lunch, I'm going to say I told you
13 so. Okay. I just thought I'd lay that on the table.
14 I see the panel is not interested. Okay.

15 Okay this is Question 5. Okay.
16 Question 5 asked the panel to comment on the
17 completeness, transparency, and scientific quality of
18 the argument presented in the issue paper, leading to
19 the conclusion, which is in the issue paper, page 141,
20 that the strongest support is for not likely to be
21 carcinogenic to humans at doses relevant to human
22 health assessment.

23 The issue paper's goal is to describe
24 the agency's comprehensive analysis of available data

1 from submitted guidelines studies and the open
2 literature. Hence, we're being asked to globally
3 address the completeness, transparency, and scientific
4 quality of the overall report as it's related to the
5 final classification recommendation of glyphosate.
6 First note that the conclusion of glyphosate
7 carcinogenicity offered in the issue paper has two
8 parts. And we've talked about this before.

9 The first part is a hazard statement;
10 the second part is a risk characterization statement.
11 Since the issue paper is not a for-all risk assessment
12 of technical glyphosate, as outlined in the 2005
13 guidelines for carcinogen risk assessment, the issue
14 paper conclusion must be assessed as stated. We're
15 going to try to tackle that statement as you've made
16 it.

17 The issue paper is conceptually driven
18 by the 2005 guidelines for carcinogen risk assessment
19 which, in turn, incorporates the modified Bradford
20 Hill criteria to evaluate strength, consistency dose
21 response, temporal concordance and biological
22 plausibility of multiple lines of evidence in a
23 weight-of-evidence analysis. The issue paper also
24 draws on the 2010 EPA OPP draft framework for

1 incorporating human epidemiologic and incidence data
2 in human health assessment, which also utilizes a
3 modified Bradford Hill criteria as applied
4 specifically to epidemiologic data.

5 In the question of completeness of the
6 agency's carcinogenic potential characterization. For
7 the epidemiology studies, the Agency followed this
8 peer-reviewed guidelines on evaluation and use of
9 epidemiology studies in risk assessment and reviewed -
10 - and I have six bullets here -- the study design,
11 including study sample size and power to detect
12 effects under consideration, the quality exposure
13 assessment in epi studies, the potential for
14 differential and non-differential misclassification of
15 effects or outcomes, the measurement and utilization
16 of or not of potential confounders, potential biases,
17 and their impacts on observed associations and the
18 associated statistical analysis.

19 That's what you commented on. The
20 panel made a lot of comments around how this could be
21 improved.

22 For the animal studies, the issue paper
23 reviewed, followed standard practice and considered
24 study design, sample size, adherence to quality

1 guidelines, statistical analysis, the use of trend in
2 multiple comparison testing procedures. Concurrence
3 with historical control rates where available,
4 evidence of carcinogenicity through tumor magnitude,
5 occurrence of multiple sites, multiple strains or
6 species, their progression latency, and dose-response,
7 and absence of tumors in well-conducted, long-term
8 animal studies.

9 And we just had a long discussion about
10 how that section could be improved. And we're going
11 to come back to -- I'm talking about completeness
12 right now. For the genotoxicity studies the issue
13 paper also followed standard practice and considered
14 test type an objective, substance tested, the quality
15 and implementation of the study, the adherence to
16 standard study design, sample size dose and use of
17 positive and negative controls. Conditions under
18 which the study was performed; for example, solubility
19 pH osmolarity, cytotoxicity, and also a degree of
20 binding and evaluation of outcomes, and consistency
21 among findings in support for particular MOA/AOP.

22 By any criteria, this list suggests a
23 complete review. I think discussed here and, in my
24 own thinking, missing was study data and results for

1 workers engaged in manufacturing glyphosate. We
2 assume because there's none in the report, there's
3 probably no data available there.

4 And then other human incidence data,
5 such as reports on acute accidental exposures. The
6 2010 EPA OPP draft framework for incorporating human
7 epidemiology and incidence data in health risk
8 assessment, discusses the utility of other incidence
9 data. While incidence data have little direct
10 relevance to cancer outcomes, time trend suggesting
11 increasing incidence and acute exposures can also be
12 suggestive of increases in overall exposure over time,
13 which can, in turn, impact inferences about the
14 quality and biases in the human epidemiology studies.

15 We didn't hear anything about drinking
16 glyphosate to try to kill yourself, but it would've
17 been nice to see some of that in there. And seeing an
18 increasing trend in that, I think, would've affected
19 our thinking about exposure.

20 On the issue of transparency, which I
21 parenthetically say, honestly and openness of the
22 agencies carcinogenic potential characterization, with
23 this report in the documents provided for the meeting
24 on the public docket, agency has succeeded in being

1 highly transparent. It's clear that the panel is not
2 at any major issues following the agency's assessment
3 as described in the report.

4 Supplemental documents provided on the
5 meeting docket have allowed panel members to duplicate
6 most analyses and verify most report claims, or at
7 least find where these claims originated. While the
8 panel has indicated some areas where it disagrees with
9 the agency's assessment, we have not found areas where
10 we've been unable to determine where the agency's
11 conclusions come from or arose.

12 Section 6.6 of the issue paper is clear
13 in laying out the agency's argument for its final
14 classification, so you're transparent. Scientific
15 quality of the agency's carcinogenic potential
16 characterization. I asked the question what is
17 scientific quality? Quality science is reproducible,
18 free from distortion, credible, built on what is known
19 or on sound science, follows logical inferences, and
20 is honest about what's achievable within the limits of
21 the available design data.

22 I asked the question, does the study
23 have clearly formulated question? Yes. Does the
24 study follow logical inference? Yes. I should say

1 the report, build on sound science. I think so. Are
2 the report authors honest in the limits to available
3 data and information? I think so. Have the report
4 authors carefully assessed the research literature and
5 understand the current state of the science? Yes

6 Can others replicate what the report
7 scientists have done? Yes. Is the study free from
8 biases and distortions? And I put maybe not totally
9 free, but at least honest about where biases and
10 distortions might have an impact on study conclusions.
11 And I suspect this is going to be a topic that others
12 in the panel will address.

13 Is the study adequately comprehensive
14 as to avoid biases by exclusion? And I'd say yes, but
15 only if you remember that the objective of the study
16 is an assessment of the carcinogenicity of technical
17 high-grade glyphosate, and not some other mixture of
18 glyphosate with other substances included. In this we
19 can conclude that the report represents quality
20 science.

21 And now we're going to move on to
22 thinking about the characterization. For the epi
23 data, the issue paper concludes, based on the weight-
24 of-evidence, the Agency cannot exclude chance and/or

1 bias as an explanation for observed associations in
2 the database. "Due to study limitations, and
3 contradictory results across studies of at least equal
4 quality, a conclusion regarding the association
5 between glyphosate exposure and risk of NHL cannot be
6 determined based on the available data." That's a
7 quote from page 68 in the report.

8 Note that this conclusion does not mean
9 that these are null studies; that is, they're well-
10 conducted studies that report no association between
11 exposure. The epi studies are not null. They do
12 report things, it's just the conclusion is that they
13 have study limitations in contradictory results.

14 The 2005 guidelines state, on page A2,
15 "When cancer affects are not found in an exposed human
16 population, this information by itself is not
17 generally sufficient to conclude that the agent poses
18 no carcinogenic hazard to this or other populations of
19 potentially exposed humans, including susceptible
20 subpopulations or life stages." The findings in the
21 epi data by themselves don't say this is not a
22 carcinogen. The epi data by itself is insufficient to
23 support the conclusion of not likely to be
24 carcinogenetic in humans.

1 The 2005 guidelines state, on page A4,
2 "When cancer effects are not found in well-conducted
3 animal cancer studies in two or more appropriate
4 species, and other information does not support the
5 carcinogenic potential of the agent, these data
6 provide a basis for concluding that the agent is not
7 likely to possess human carcinogenic potential in the
8 absence of human data to the contrary."

9 The 2005 Guidelines also state, page
10 A3, "The default option is that positive effects in
11 animal cancer studies indicate that the agent under
12 study can have carcinogenic potential in human." I
13 shifted some stuff around, so I want to make sure.

14 For the animal carcinogenicity assay
15 data, the issue paper concludes, on page 96, "Based on
16 the weight-of-evidence, the Agency has determined that
17 any tumor findings observed in the rat and mouse
18 carcinogenicity studies for glyphosate, are not
19 considered treatment-related. Tumor findings observed
20 in the highest doses tested, were not reproduced in
21 studies in the same animal strain at higher doses."

22 We're going to come back to this issue.
23 For the genotoxicity studies, the issue paper
24 concludes, page 128, "The overall weight-of-evidence

1 indicates that there is no convincing evidence that
2 glyphosate induces mutations in vivo, via the oral
3 route. And while there is limited evidence of
4 genotoxicity for effects in some, in vitro
5 experiments, in vivo effects were given more weight
6 than in vitro effects, particularly when the same
7 genetic endpoint was measured, which is consistent
8 with current OECD guidance. The only positive
9 findings reported in vivo, were seen at relatively
10 high doses that were not relevant for human risk
11 assessment."

12 All this comes down to whether the
13 limited evidence of genotoxicity at relative high
14 doses, and the limited evidence of a potential dose
15 response relationship in some cancers, and the
16 uncertainty in the epidemiology study findings around
17 an association between glyphosate exposure and the
18 risk of NHL, are sufficient to change the EPA findings
19 of not likely to be carcinogenic in humans without the
20 modifier at human relevant doses, to inadequate
21 information to assess carcinogenic potential or even
22 suggested evidence of carcinogenic potential.

23 The issue paper's argument for
24 concluding a classification of not likely to be

1 carcinogenic to humans, rests on the descriptor
2 convincing evidence the carcinogenic effects are not
3 likely below a defined dose range where the data are
4 robust for deciding there is no basis for human hazard
5 concern.

6 I'm going to read this last paragraph,
7 but then I think we're going to open it up. Because I
8 don't get to everything and I think we're going to
9 need more panel discussion.

10 "The inability to propose a
11 scientifically supported MOA/AOP for glyphosate and
12 precursor events of action for glyphosate, along with
13 reproducible negative genotoxicity findings, or a very
14 weak signal from the epidemiology evidence..." I
15 basically said no signal from the epidemiology
16 evidence and weak signal from the animal data lead me,
17 myself, Ken Portier, to agree with the agency's
18 weight-of-evidence assessment of not likely to be
19 carcinogenic at human relevant doses.

20 I think at this point we need to open
21 it up for others on the panel to conclude. I do have
22 some comments, if we want to get back and actually go
23 through all the Bradford Hill criteria, because we did
24 have some discussion around a number of these. Part

1 of the agency's argument has to do with -- especially
2 with the animal data -- is around the issue of
3 consistency of findings. And we just had a discussion
4 that basically said that variability in study and
5 measurement conditions makes assessment difficult.

6 I think we all agreed to that. And
7 lack of consistent findings is kind of expected in
8 this many animal studies. And the combination makes
9 it very hard to give a lot of weight to inconsistent
10 findings. The consistency argument from the
11 discussion we had this morning seems to kind of down
12 weigh that aspect of the Bradford Hill and we spent a
13 lot of time with the dose-response question.

14 To me, the biological plausibility
15 component is a big part. I don't think we spent too
16 much time on temporal concurrence and coherence, but I
17 don't think those are issues that we're worried about
18 here. The issue is more the dose response, the
19 biological plausibility, and then a lot of the
20 uncertainties that remain after we look at what's
21 relatively a huge database for herbicide. I mean,
22 this is, like somebody pointed out, 30 years of study
23 on this chemical and I'm surprised we're still at this
24 level of uncertainty at that point.

1 I think with that, I'll turn it over
2 to others to add their comments and then we'll come
3 back.

4 **DR. JIM MCMANAMAN:** Thank you, Dr.
5 Portier. Did I hear the words -- was it the Bradford
6 Hill's wording that it has to be robust data that is
7 negative?

8 **DR. KENNETH PORTIER:** No. The Bradford
9 Hill criteria is just a framework we're thinking
10 through all of these studies.

11 **DR. JIM MCMANAMAN:** Right. You used
12 the word "robust" and so I'm wondering about is --
13 because I think there is an issue with the robustness
14 of the animal data. And I don't remember whether you
15 used it in terms of the epidemiology data or the
16 animal data.

17 **DR. KENNETH PORTIER:** And I think I was
18 saying robust in the sense of a lot of studies. Not
19 robust in the sense of a robust finding.

20 **DR. JIM MCMANAMAN:** Conclusiveness.
21 Okay. Got you.

22 **DR. KENNETH PORTIER:** There's just a
23 huge dataset here, compared to most things we've ever
24 seen before this panel.

1 DR. JIM MCMANAMAN: Thank you. All
2 right. We'll open it up. Dr. Johnson.

3 DR. ERIC JOHNSON: Before we start the
4 discussion, please make this clarification; we can
5 make a decision based on just one tumor type? Because
6 there are many, many tumor types, even for the human
7 studies, many, many cancers that were investigated.

8 DR. JIM MCMANAMAN: So let's go back to
9 the wording there because I think this is --

10 DR. KENNETH PORTIER: That's why I kind
11 of read through those quotes from the Cancer
12 Guidelines. From page A4 in the Cancer Guidelines,
13 "When cancer effects are not found in well-conducted
14 animal cancer studies, in two or more species, and
15 other information does not support the carcinogenic
16 potential of the agent, these data provide a basis for
17 concluding that the agent is not likely to possess
18 carcinogenic potential in the absence of human data to
19 the contrary."

20 Human data trumps, right? And then
21 you're looking for consistency across two species,
22 it's usually something in rats and something in mice,
23 right?

24 The guidelines also state, on page A3,

1 "The default option is that positive affects in animal
2 cancer studies indicate that the agent under study can
3 have carcinogenic potential."

4 If you find it -- and these guys might
5 correct me on this -- but I think if you conclude it
6 occurs in one species, a tumor occurs in one species,
7 that might be enough to change it from no evidence to
8 suggestive evidence. You'd need two species, and
9 probably in one sex, to be able to say something
10 beyond that, right?

11 **DR. ERIC JOHNSON:** But within the human
12 data, we only need one tumor type to make a decision.

13 **DR. LAURA GREEN:** Right.

14 **DR. KENNETH PORTIER:** Yeah. Yeah, the
15 human data trumps everything. If you conclude that
16 there's signal in the epi data of cancer, then they
17 can't say it's not carcinogenic. They have to deal
18 with it as if it's a carcinogenic agent.

19 That's why the discussion yesterday was
20 so very important.

21 **DR. JIM MCMANAMAN:** Why don't we begin
22 with that? Why don't we begin with whether there's is
23 any --

24 **DR. KENNETH PORTIER:** Well, there's

1 other people on here that may have prepared the other
2 piece.

3 **DR. JIM MCMANAMAN:** Oh. I thought you
4 were opening it up. Sorry. You wanted to open it up.
5 And I was following your lead, which is okay with me.

6 I mean, we can do it where we can open
7 it up to other panel members at this point.

8 **DR. KENNETH PORTIER:** Dr. Green, Dr.
9 Parsons.

10 **DR. JIM MCMANAMAN:** Let's just go
11 through with the other discussants. Dr. Green.

12 **DR. LAURA GREEN:** Okay. I'm mindful of
13 what Thurgood Marshall said at his retirement
14 interview, which was, "I'd like to be remembered for
15 doing the best I could with what I had," which I
16 thought was a very useful thing to keep in mind.

17 I do believe the agency did a good job
18 with what it had. I think we've given you suggestions
19 over the last couple days for doing a better job, but
20 I do think you did a good job with what you had.

21 I'd like to return to the NTP
22 guidelines, which I don't have in front of me; but my
23 strong recollection is that for the rodent data, NTP
24 has carved out for itself a characterization called

1 equivocal. And it defines that -- and again, I'm
2 doing this from memory -- but it defines that as the
3 characterization. It gives the results of a single
4 bioassay when it sees statistically significant
5 increases in one or more dose groups, that cannot
6 determine whether those are treatment-related or not.

7 I think I have that right. Obviously,
8 some of us disagree about whether the positive
9 findings are all false positives or maybe some false
10 positives and true positives. But I think that
11 disagreement is expected because obviously, Chris
12 Portier and others put more weight on the animal data.
13 Some of us put less weight on the animal data. I
14 think that's exactly what the word "equivocal" means.
15 You see increases, you can't tell whether their
16 treatment-related.

17 I don't know if EPA has the ability to
18 use the NTP language in its characterization of these
19 15 bioassays, but I would like it to at least consider
20 that. And that's separate from how and whether you
21 group the data or lump the data or split the data. It
22 comes to, in my mind, how you ultimately characterize
23 your weight-of-evidence.

24 I mean, weighing the evidence from 15

1 bioassays, you have three choices; you can either find
2 reliable evidence of a signal of carcinogenicity. You
3 can find unreliable evidence of a signal of
4 carcinogenicity, which I take to be equivocal, or
5 roughly. Or you can find strong evidence of non-
6 carcinogenicity. The problem is strong evidence of
7 non-carcinogenicity is obvious. Science marches on,
8 you know, if there were a mega mouse study done of
9 glyphosate and, you know, you found -- I don't --
10 let's call it hemangiosarcoma to the liver. You know,
11 I'd be the first person to say, "Wow, that looks
12 interesting."

13 This comes to a problem that we have
14 and maybe you're stuck with. And I again, talked
15 about it a few days ago, and Dr. Trump and I have been
16 wrestling with this -- oh, Jesus.

17 **DR. KENNY CRUMP:** Dr. Trump. She did
18 it again.

19 **DR. LAURA GREEN:** President-elect Crump
20 and I have been struggling with this. The problem
21 with the phrasing not likely to be carcinogenic in
22 humans strikes us as sort of unscientific. It
23 presumes in level of omniscience that none of us on
24 earth has. But if you're stuck with it, you're stuck

1 with it.

2 If your only alternative is that or
3 suggestive evidence, to this reviewer at least,
4 "suggestive" is wrong. Because it means that you kind
5 of believe that the positives in the rodent data are
6 true positives, and they're two true positives, and
7 they're not outweighed by the lack of replicability,
8 if that's a word. I don't like suggestive.

9 I would like to say that, with regard
10 to the completeness of your assessment, not to repeat
11 myself, I want to see more on immunotoxicity. And the
12 reason is because my esteemed colleagues are worried
13 about NHL; I am not.

14 But to the extent that others disagree
15 with me, I would like a discussion in your document
16 about why you think it's implausible that NHL is
17 glyphosate-related. I mean, it's equally important to
18 speak about biological plausibility, but let's not get
19 carried away. I mean, as a society, we once thought
20 it was plausible that the witches in Salem were
21 responsible for bad stuff. And it was plausible that
22 women shouldn't vote because we have uteruses and
23 small brains. Just to pick two examples. I could go
24 on, but you get the point.

1 I don't think we should be in love with
2 our own notions of biological plausibility because
3 they're limited to what we know in a 21st Century.

4 But we do know something about
5 implausibility, right? And to my mind, again, for a
6 tumor-like NHL, for which the odds ratios are
7 routinely between one and two, but not three or five
8 or ten, we know about NHL that immunodeficiency is a
9 strong risk factor, i.e. AIDS in organ transplant
10 patients, having massive odds ratios on the order of
11 10 to 100.

12 We know that there's strong genetic
13 determinants of our own immunocompetence. Everyone
14 sitting around this table, depending on our genetics
15 and our age, has different state of immunocompetence.
16 To the extent that observational epidemiology, by
17 definition, is nonrandom -- and again, I may be using
18 the terms wrong. But to the extent that observational
19 epidemiology is nonrandom, when you have at most a
20 small signal, whether it's odds ratio -- you know,
21 whether the low confidence interval is above or below
22 one. But if it's a weak signal -- statistical
23 significance aside -- if it's a weak signal, and
24 you're talking about a tumor which is so dependent on

1 the immune system, which is so different among groups,
2 I'm not convinced that the data can be relied on.

3 I mean, what if a couple of pesticide
4 applicators also had AIDS? What if a couple of
5 pesticide applicators also had an organ transplant? I
6 mean, the epidemiologist can't possibly ask all these
7 questions. But again, uniquely for NHL in farmers --
8 and as Professor Johnson has study for much of his
9 lifetime -- the farm environment with viruses in
10 animals that are established causes of leukemia and
11 lymphomas, I would like to see your discussion of the
12 Bradford Hill criteria explained to the reader if you
13 think it's true; why you think it's implausible that a
14 small signal of NHL is meaningful. Maybe you don't.
15 I do.

16 And as I said it's a counterfactual
17 here. If there were six case-control studies on colon
18 cancer, and we had the same weak but statistically
19 significant meta-estimates, okay -- if there were
20 statistically significant meta-estimate of, let's say,
21 1.8 with a lower bound of 1.1 even, for colon cancer,
22 I'd be singing a different tune, okay. Why? Because
23 of your weight-of-evidence. It's in the gut. It's
24 barely metabolized, but when it's metabolized it's in

1 the gut. It would be nice if any of the animal tumor
2 data, by the way, showed colon cancer, which it
3 doesn't.

4 I guess I'm urging you to think a
5 little more holistically. I reluctantly agree that if
6 you have to choose between suggestive and not likely,
7 not likely is a better choice. But if in some future
8 date your agency can rewrite these characterizations,
9 to this observer at least, I think a more
10 scientifically reliable designation would be something
11 like, the weight-of-evidence fails to provide reliable
12 evidence of carcinogenicity.

13 And by the way, I think it's true in
14 rodents and in people. I think it's true at all
15 doses. I don't think you have to modify it at human
16 relevant doses because, as Kenny has pointed out, --
17 if I call you Kenny, I don't get the wrong last name.
18 As Dr. Kenny has pointed out, if even the responses at
19 a gram per kilo are not treatment-related, then I
20 don't think you have to, you know, modify it by dose.
21 But anyway, that's my gestalt.

22 **DR. KENNETH PORTIER:** You know, Laura.
23 It's interesting because if you look at this statement
24 "not likely," that's a probabilistic statement. It

1 just says it's unlikely. And you've asked them to
2 address implausibility which kind the parallels that
3 unlikeliness kind of concept. I like what you picked
4 up on there.

5 **DR. JIM MCMANAMAN:** Thank you, Dr.
6 Portier and Dr. Green. Dr. Parsons.

7 **DR. BARBARA PARSONS:** I would like to
8 echo Dr. Portier's statements about completeness and
9 transparency. I did not try to evaluate the human epi
10 data. I'm frankly not qualified to do that.

11 I think it's clear that glyphosate is
12 not a genotoxic chemical. I am hung up on the rodent
13 carcinogenicity data. I have to say, I do not support
14 the conclusion that glyphosate is not likely to be
15 carcinogenic to humans as an appropriate descriptor of
16 the carcinogenic potential of glyphosate, because I
17 don't think the criteria statements that go along with
18 that descriptor apply.

19 The first criteria given in the Cancer
20 Risk Assessment Guidelines are animal evidence
21 demonstrates lack of carcinogenic effects in both
22 sexes, in well-designed and well-conducted studies, in
23 at least two appropriate animal species in absence of
24 other animal or human data, suggesting a potential for

1 cancer effects. The animal data demonstrates lack of
2 carcinogenic effects.

3 That's not how I characterize the data.
4 At most, it's equivocal. It doesn't demonstrate there
5 is no carcinogenic effect. And rather, I believe
6 there is sufficient evidence to conclude glyphosate in
7 the high dose rodent carcinogen.

8 Second descriptor is, there's
9 convincing and extensive experimental evidence showing
10 that the only carcinogenic effects observed in animals
11 are not relevant to humans. If glyphosate causes
12 progression of pre-existing lesions, or cells carrying
13 spontaneous cancer driver mutations -- and I believe
14 this is the only option, assuming that it is not
15 genotoxic -- then there is reason to expect that
16 humans -- maybe I'm missing a word here -- that is
17 relevant to humans, who could be as or more
18 susceptible than rodents to equivalent doses,
19 depending on age. I don't think that applies. The
20 third descriptor is convincing evidence that
21 carcinogenic effects are not likely by a particular
22 exposure group.

23 The rodent carcinogenicity studies were
24 conducted via the appropriate oral dose route that's

1 applicable to humans. And so, this statement doesn't
2 apply.

3 The last statement, I believe, that
4 there's convincing evidence that carcinogenic effects
5 are not likely below a defined dose range, might
6 apply. But the fact that the high-end estimate of
7 occupational exposure, 7 mg per kilogram body weight
8 per day, and the lowest dose that generated a
9 significant rodent tumor response, in my opinion, 31
10 mg per kilogram body weight per day, are within a
11 five-fold range -- and again, to my mind, that is a
12 cause for regulatory concern -- I believe that
13 suggestive evidence of carcinogenic potential is the
14 most appropriate cancer descriptor, based on the
15 rodent carcinogenicity data.

16 **DR. JIM MCMANAMAN:** Thank you, Dr.
17 Parsons. Dr. Taioli.

18 **DR. EMANUELA TAIOLI:** Okay. The human
19 study as we discussed before, are kind of central to
20 this point of discussion and all these evaluations.
21 And I think we went through all the limitations and
22 the advantages of the studies yesterday. We don't
23 have to cover everything again.

24 I think it's positive that we kind of

1 all agree with that 6/7 studies that have been
2 considered. We are all on the same area. And we have
3 a cohort study and six case-control studies for non-
4 Hodgkin lymphoma, which was the center of the
5 discussion. I'm not so surprised that there are so
6 many case-control studies because that's what you do
7 when you have a rare disease under study. I'm not so
8 surprised that there are so many case-control studies.

9 Now, the evidence of both the cohort
10 and case-control study for non-Hodgkin lymphoma, which
11 is the central -- then I'll go to multiple myeloma in
12 a minute -- are all the same direction, are all within
13 the same range of association at the point that the
14 summary estimate has no heterogeneity, basically,
15 which is a very unusual situation for epidemiologists.

16 And even with sensitivity analysis,
17 trying to reduce the number of studies to studies that
18 are more homogeneous among themselves, for example,
19 restricting to case-control studies; restricting to
20 studies that have no proxy responders. They always
21 bring up odds ratio that go between 1.3 and 1.5, 1.6,
22 which for epidemiology, is actually an odds ratio that
23 somebody estimated we expect.

24 And I just have the curiosity now and

1 went back to look at the odds ratio for a women study
2 for estrogen and breast-cancer. Post-menopausal
3 estrogen was 1.22, one confidence interval and 1.4.
4 That's what we, unfortunately, deal with on a daily
5 basis. To discount this result and saying that it's
6 the unlikely attribute, to me, it's basically not
7 reflecting the results of those studies.

8 In addition to that, the multiple
9 myeloma data are equivocal, they're not that
10 straightforward as no association. For all of these
11 reasons I'm more in favor of the suggestive than the
12 non-likely. If I could use equivocal, I agree, I
13 would be very happy. But apparently, we can't.

14 **DR. ANNA LOWIT:** Dr. McManaman, this is
15 really important. I have a clarification for Dr.
16 Parsons before it gets lost.

17 **DR. JIM MCMANAMAN:** Okay.

18 **DR. ANNA LOWIT:** Okay. Dr. Parsons, I
19 appreciate your very logical and systematic go through
20 the Cancer Guidelines; I really appreciate that
21 thoughtfulness. Your last point I just wanted to make
22 sure it is clear to us.

23 You got to the last one about the,
24 above a certain dose. And then what you did was

1 compare that dose to the human exposure that you've
2 been shown. In our world, that is crossing the line
3 from science to risk management. The relative
4 proximity of those two things does not play in the
5 cancer qualification because what that is as it is a
6 risk management call of, let's say hypothetically,
7 that margin is small. And even you said yourself, it
8 gave you pause or looked risky, which moved you down
9 to thoughtful to move down to suggestive.

10 The agency's job is to decide that
11 magnitude and whether rates need to go down or workers
12 need to be better protected. It shouldn't play in the
13 cancer classification.

14 **DR. BARBARA PARSONS:** I appreciate
15 that. I totally understand that that is a risk
16 management decision, and I'm not saying that a good
17 risk management decision cannot be made here. But
18 statement is, there is convincing evidence that
19 carcinogenic effects are not likely below a defined
20 dose range. I don't think that applies. And I gave
21 the reason why I don't think it applies.

22 I'm not saying that these are the
23 numbers that you must use. I'm not trying to get into
24 -- believe me, deciding whether or not is it

1 carcinogen or not is enough. I don't want to get into
2 what is a safe level.

3 **DR. JIM MCMANAMAN:** I think we should
4 move on, in terms of the panel. Thank you, Dr.
5 Taioli.

6 Dr. Zelterman.

7 **DR. DANIEL ZELTERMAN:** Well, I'll be
8 brief. I certainly can't add to many of the comments
9 that have already been made. I can comment on the
10 completeness and transparency of the process. We may
11 not agree on the outcome, but we should agree on the
12 quality of the agency's work in putting together such
13 a panel and thank them for convening.

14 I couldn't help but think that maybe
15 there's really an elephant in the room, and that we're
16 looking for carcinogenic effect, and maybe glyphosate
17 has an effect in many other health matters. And I
18 kept wondering maybe there's a birth defect, like
19 thalidomide or maybe there are many other health
20 effects that we're just glossing over. And I saw no
21 mention of this because so much of what we're talking
22 about is just carcinogenic.

23 **DR. JIM MCMANAMAN:** Thank you, Dr.
24 Zelterman. We've had all of the discussants on this

1 question weigh in. I think we can open it up to the
2 rest of the panel. I'd like to direct us a little
3 bit. I don't want to inhibit anybody from saying
4 things, but I would like to start with Dr. Sheppard
5 because I'd like to hear her thoughts related to the
6 human carcinogenicity and whether -- because Dr.
7 Taioli certainly has a viewpoint that seems to suggest
8 that there might be.

9 **DR. LIANNE SHEPPARD:** Yes. And I
10 actually agree with Dr. Taioli on this. And I wanted
11 to say that my perspective is not only as a
12 statistician, which complements Dr. Taioli's
13 perspective, but looks at the data a little bit more
14 at face value than bringing in the incredibly valuable
15 insights from the science that are also very, very
16 important.

17 I have to say that most of my work is
18 in air pollution epidemiology. And the evidence base
19 there for health effects, not specifically cancer, but
20 the evidence base there developed from epidemiology.
21 It developed from epidemiology and time series studies
22 where the relative risk estimates were on the order of
23 1.01 to 1.05. You know, those are the kinds of risk
24 estimates that epidemiologists typically dismiss out-

1 of-hand. But that is the evidence base that
2 developed. And then, in cohort studies, the affect
3 estimates are on the order of 1.2, 1.3.

4 In air pollution epidemiology, where we
5 actually have the advantage, relatively speaking, of
6 quantifying exposure much better than we do in almost
7 any other environmental exposure, including
8 glyphosate. You know, we've been able to advance our
9 understanding and make huge changes, through the Clean
10 Air Act, to protect public health with very, very
11 small risk estimates that were, for a long time, not
12 supported by mechanisms or even by animal toxicology.
13 But the epidemiology was used to basically trump the
14 rest of the evidence.

15 Eventually the mechanistic evidence has
16 started to catch up. And so, the bench science has
17 begun to elucidate the mechanisms. But it was the
18 epidemiology that led to policy statements and action
19 that has indeed changed the air pollution exposure.
20 And it has been documented, for instance, at looking
21 at changes in life expectancy over 20 years, to be
22 associated with changes in the trends in air
23 pollution.

24 I think based on the non-Hodgkin

1 lymphoma results alone, and affirming what Dr. Taioli
2 said about the meta-risk estimates and the lack of
3 heterogeneity, personally, I think that's suggestive.
4 Does that mean that it's clear that more evidence, as
5 it accumulates, might not change that conclusion? No.
6 I mean, it's too early to say. But clearly, it's
7 suggestive to me, and it's the most public health
8 appropriate conclusion to reach, is that because of
9 that human data, the evidence is suggestive.

10 I also think -- which is not what you
11 asked me to talk about, but I want to continue -- to
12 say that we also have seen evidence in the animal
13 studies for some outcomes in at least one species.
14 And my reading of the guidelines is, that's enough
15 right there. The epi evidence, in some sense, doesn't
16 matter other than to strengthen the conclusion. The
17 animal evidence alone is enough.

18 And you know, I really appreciate the
19 perspective that Dr. Parsons has brought, that it's a
20 weak promoter. Because it seems to me that a lot of
21 the evidence base isn't really well-aligned with that
22 promoter aspect and that may be my lack of
23 understanding of all the different pieces of the bench
24 science that went into that. But my sense from the

1 way she was able to pull that conclusion out of the
2 data she saw, but it hasn't come out on any of the
3 other documents or work or bodies that have reviewed
4 this, suggests to me that there's still some
5 understanding to be developed there.

6 I feel pretty strongly that the
7 evidence is suggestive. You know, it would be
8 interesting to reflect on whether I would come down to
9 equivocal instead of suggestive, if that were
10 category. But since we're not in the realm of making
11 up new categories, I am not going there.

12 It's clear to me that we can't
13 conclude, as the Agency has done, that it's not a
14 carcinogen. That's just completely inappropriate
15 based on their criteria.

16 I appreciate Dr. Parsons going through
17 some of the details on that. We can't do that. And
18 there is too much data to say that it's inadequate.
19 It has to be suggestive.

20 **DR. JIM MCMANAMAN:** Okay. I'd like to
21 stay with the human as much as possible for right now.
22 We'll go with Dr. Crump and Dr. Johnson because you
23 both weighed in on this pretty heavily during the
24 time.

1 Dr. Crump, if that's the name you're
2 going by now.

3 **DR. KENNY CRUMP:** I'm really not
4 minding being called Trump. I'm trying to think of
5 ways to take advantage of that. Kind of like Obama
6 saying he liked Obamacare.

7 With regard to human data, the case-
8 control data, I made a presentation yesterday that
9 highly suggests, I think, that these results could
10 easily be due to recall bias. And in the studies, I
11 mean, if you look overall, people say, oh yes, they're
12 recall bias. Yes, recall bias is a problem.

13 I don't see any references in any of
14 these studies that deal with that issue. But McDuffie
15 and Eriksson had almost all of their ORs -- they
16 didn't do this just for glyphosate, they did it for a
17 whole bunch of pesticides. Almost all of them, all
18 the ORs are bigger than one in those studies. That's
19 what you expect, if there's recall bias, for driving
20 it.

21 Plus, in those two studies, they did
22 something -- I can't quite understand why they did it.
23 I can think of one reason, but basically, I don't
24 think it's should've been done. They replaced -- they

1 threw some data out of their unexposed, so they used
2 unexposed -- not just unexposed to glyphosate, but
3 unexposed to any pesticide. And if there is recall
4 bias operating, that would exacerbate it and make it
5 worse. To me, all of this data are consistent with
6 recall bias. That's what I have to say.

7 **DR. JIM MCMANAMAN:** Dr. Taioli made the
8 point that -- especially with NHL -- that there seems
9 to be consistent trends. And if that seems to be the
10 major consideration, that is making suggestive
11 epidemiology evidence, I mean, do you have a feeling
12 about her analysis of that?

13 Because I mean, I think we're throwing
14 out some of the data, as you pointed out, but her
15 points are the trends.

16 **DR. KENNY CRUMP:** Well, you know, if
17 have bias like this, you have only three doses, I
18 mean, the chances of a trend is quite high. I would
19 think that could easily just be an incidental finding.

20 **DR. JIM MCMANAMAN:** Dr. Taioli.

21 **DR. EMANUELA TAIOLI:** One thing is that
22 -- then why there are case-controls studies in the
23 world? I mean, you can't discount all the case-
24 control studies because they all have recall bias.

1 That's part of the design. Same as the cohort studies
2 have to go on for 30 years to show something. In this
3 case, the agriculture only went on for seven. Every
4 study has, in its design, inherent problems. And
5 that's one thing.

6 In terms of the association with the
7 adult pesticides, I think it's important to go back to
8 the animal studies because really, if it's a promoter,
9 that would explain why you cannot adjust or see
10 association with the other pesticides. You will see
11 an interaction. And so, all of them could be
12 significant, but then interacting with each other.
13 Then there will be more analysis if that venue is the
14 correct venue, which frankly, I don't know because
15 it's not my area. It sounds appropriate and logical,
16 but then really, the association for each individual
17 pesticide won't really mean that there is recall bias.
18 It would mean that there is a biological reason behind
19 it, in my view.

20 **DR. KENNY CRUMP:** Can I respond to
21 that?

22 **DR. ERIC JOHNSON:** Can I respond?

23 **DR. JIM MCMANAMAN:** Wait a minute, let
24 him respond to this. We'll bring you in, just a

1 minute.

2 **DR. KENNY CRUMP:** I don't think that
3 really relates to the question of the possibility of
4 recall bias. But I think you were saying, why are
5 people doing all the studies all these years of
6 there's a problem.

7 **DR. EMANUELA TAIOLI:** In general.

8 **DR. KENNY CRUMP:** There's not a problem
9 with all case-control studies. It would only be a
10 problem with those where they determined exposure by
11 asking cases and controls together -- asking cases and
12 controls about their previous exposures. That would
13 be the only issue where there would be a recall bias
14 problem.

15 **DR. EMANUELA TAIOLI:** But that's how
16 case controls are about. If you have breast cancer,
17 they ask you if you had menarche at 14 or 13, 50 years
18 before. That's how the case control is designed; and
19 everybody will recall differently. And that problem
20 is in all of the case-control studies. That's the
21 limitation of case-control studies, unless there is a
22 marker of something that happened 50 years before,
23 which is very unlikely, in general.

24 **DR. KENNY CRUMP:** Let me say, I know,

1 they do these studies a lot. We got a lot of them
2 here. That doesn't mean they're valid studies. Read
3 the literature, everybody says -- well, not everybody.
4 I read two instances where people said there are
5 problems with control bias. I just read what Chris
6 Portier said about control bias. He asked if there
7 was a problem.

8 **DR. LAURA GREEN:** Recall bias.

9 **DR. KENNY CRUMP:** Recall bias. Thank
10 you. I want to say control bias. Chris said, he was
11 asked, is there a problem with control bias or
12 something like that. And his answer was, yes, I
13 agree; there's a problem with control bias.

14 **DR. EMANEULA TAIOLI:** We agree that
15 that's the limitation of case-control studies. But
16 that doesn't mean -- first of all, it's for all case-
17 control studies, not just this specific six. And it
18 doesn't mean you throw them away. You know that's a
19 limitation. Cohort studies have a limitation of
20 being, in general, too short. And it's the case here,
21 for example. We're not throwing it away; we are
22 keeping it with its limitations.

23 I don't think this is a reason to
24 disregard what the literature, especially for a rare

1 disease where case-control studies are the elected
2 design, like non-Hodgkin lymphoma, multiple myeloma,
3 those rare diseases. The case-control is the elective
4 study design, in order to have enough cases.

5 **DR. KENNY CRUMP:** I agree that would be
6 the study design. But it may be, despite
7 epidemiologist's best efforts, they can't overcome
8 this problem. I haven't seen any data that indicate
9 they've done anything about this problem. They don't
10 even discuss it. I think we're the same place we were
11 35 years ago, in the study that I quoted by Preslow
12 and Day. He says it's a big problem.

13 I haven't seen any movement from that.
14 All I've seen it's still a problem, but we just don't
15 want to talk about it anymore. That's what I see in
16 these studies.

17 **DR. JIM MCMANAMAN:** Dr. Sheppard had
18 her hand up first.

19 **DR. LIANNE SHEPPARD:** I think this is
20 the time that I want to make sure we read into the
21 record my response to Dr. Crump's analysis of recall
22 bias yesterday.

23 While I agree with you and Dr. Taioli,
24 and I think every other epidemiologist in the room,

1 that recall bias is a feature of case-control studies,
2 it doesn't necessarily mean that they should be
3 disregarded. And I also want to say that I think your
4 concern is particularly acute for pesticides, at least
5 be somewhat I heard.

6 And in fact, the Blair and Zahm 1993
7 paper that you provided an analysis of yesterday, has
8 some evidence about whether there's any -- well, you
9 could decide whether you want to call it recall bias
10 based on the careful work they did to do surveying and
11 then go in and do additional probing.

12 And you provided a very interesting
13 analysis yesterday about that, and I was concerned
14 about it, so I asked for the details, and spent some
15 time last night thinking about what was appropriate.
16 And your analysis looked at exposure by outcome, case
17 control status, comparisons of pesticide exposure.
18 And the simplest case, which is the one I focused on
19 is an ever/never reporting of pesticide use, meaning
20 zero versus one or more.

21 And you showed two tables; one with
22 evidence -- oh, he's bringing it up. In the interest
23 of time, I'm going to continue to read this and then
24 people can look at the evidence when it comes up on

1 screen.

2 So there two tables; one for evidence
3 based on the pure self-report, i.e. what was
4 volunteered. And the second one based on evidence
5 based on self-report plus deeper probing.

6 And as you would expect, more probing
7 resulted in more reporting of pesticides. I think we
8 would all agree that that's what you would expect.
9 And this turned out to result in greater odds of an
10 effective of exposure after probing than was estimated
11 before the probing.

12 And the question is whether that is
13 evidence of recall bias or just the result of a better
14 estimate of the odds ratio, which is the exposure
15 effect of interest, due to less measurement error in
16 the exposure. And I suggest that the analysis, that
17 Dr. Crump provided yesterday, was the latter. It was
18 evidence that more probing leads to less measurement
19 error and therefore bigger odds ratios due to less
20 measurement error, less attenuation towards the null.

21 I took the same exact data, the
22 herbicide reporting data, and looked at it
23 differently, as a pair data for cases and control
24 separately. And so, the tables that you see on the

1 screen are set up with volunteered as columns and
2 volunteered, plus probed, as rows. They're labeled,
3 "probed" as rows. And the classifications are
4 "never," that's minus, or "ever," that's plus, which
5 means one or more herbicides, reported. And an
6 individual either reports the same both times or they
7 change their reporting.

8 Now, because the probing elicited more
9 pesticides, nobody reported fewer pesticides with
10 additional probing. The cell that's volunteer
11 positive and probe negative is zero. That basically,
12 if you have a positive -- more pesticides that you
13 probe -- excuse me, when you don't probe, you're not
14 going to then give no pesticides when you do probe.
15 Those are the tables below that you can derive from
16 Table 9 of Blair and Zahm, if you would like to do the
17 analysis yourself.

18 And so, then the question is, is there
19 a differential response in terms of the effect of
20 probing in the cases versus controls. And there were
21 two ways that I looked at that; one is that I took the
22 ratio of the number that changed over the number the
23 state the same. And the other way I did it was take
24 the ratio of the change over the total.

1 The ratio of the change to staying the
2 same is close to 23 for both cases and controls. And
3 the ratio of the change to total is about 19 percent
4 for both. And so, this is what I would call passing
5 the inner-ocular test. That means it hits you between
6 the eyes. That means you don't need a statistician to
7 tell you that there's no difference, the numbers are
8 essentially the same.

9 I conclude quite strongly that there's
10 no evidence of recall bias due to additional probing
11 about pesticides in this Blair and Zahm paper. And
12 this is only one piece of evidence and it can't rule
13 out the presence or potential for recall bias, but I
14 think it does give us the best evidence that we have
15 at hand this suggest that there's no differential
16 memory about pesticides, when you probe for cases and
17 controls.

18 **DR. ERIC JOHNSON:** Can I respond?

19 **DR. JIM MCMANAMAN:** Yes. We'll get to
20 you, Dr. Johnson, in just a minute.

21 **DR. ERIC JOHNSON:** It's on this issue.

22 **DR. JIM MCMANAMAN:** I know, but he
23 needs to respond to this, I think; because this is an
24 important point.

1 **DR. KENNY CRUMP:** Well, I have to
2 admit, Dr. Sheppard, that I don't fully understand
3 what's you've done here yet. I have to talk to you
4 about it and get it worked out in my mind. Maybe
5 because my brain is kind of frazzled at the end of the
6 week. But what I do understand, I think you may have
7 misinterpreted what I was saying.

8 The probing, in comparison with the
9 unprobing, in my mind, has nothing really to do with
10 the aspects of recall bias. You could think of those
11 -- I just presented them just because they were in the
12 paper. But you can think of this as two separate ways
13 to question. You do it, the first way just with a
14 volunteer, or you could it the second way. We do the
15 volunteer and then you probe. That's the second way
16 of getting the information.

17 Basically, you have two ways of getting
18 the information. I don't see that the difference
19 between the two is important as far as control bias is
20 concerned. You could look at either one individually
21 and those ORs that I reported yesterday, are the ORs
22 that you get when you do that, you can look at either
23 one separately. I'm not sure the differences are
24 really important. Let me finish.

1 But all of those ORs were the ORs you
2 would you get suggesting control bias. They were all
3 greater than one and some of them were statistically
4 significant. But I would also point out that this is
5 an old study, and it's the only study that I found, in
6 the literature, that had quantitative information on
7 control bias. And so, that suggests to me that his
8 issue has certainly not be studied to any great extent
9 and not studied enough.

10 **DR. JIM MCMANAMAN:** Rather than get
11 into a further back and forth about this, I think what
12 my goal was is to try to -- because this was an
13 important -- this was critical to the evaluation of
14 the epidemiology study. And so, I wanted to get two
15 lines of thought. I'm coming to Dr. Johnson. But
16 I've been asked about the possibility of the break.

17 If we can have Dr. Johnson with a brief
18 comment related to this and then we'll take a break.

19 **DR. ERIC JOHNSON:** Yes. I do
20 appreciate Dr. Crump's concern for recall bias, which
21 is always an issue in any case-control study. We have
22 a way of dealing with recall bias in case-control
23 studies. And that is, for example, if we didn't with
24 cancer cases, we would also choose -- in addition to

1 non-cancer cases as controls, we would choose cancer
2 controls. And that takes care of recall bias usually
3 in epi studies.

4 The other point we have to make is
5 that, each epi study is a questionnaire of sometimes
6 hundreds of questions. My questionnaire, which I'm
7 using, believe it or not, has about 600 primary
8 questions and 3,000 secondary questions. There are a
9 lot of questions.

10 And even if recall bias is an issue,
11 what you usually find is that it may be an issue for
12 certain questions. For example, there is not going to
13 be much recall bias in asking the question, does this
14 person smoke cigarettes or not. There's not going to
15 be much recall bias with that. It's the absolute
16 method which you're using to just discard all this
17 that I'm against.

18 So even in practice, it doesn't work
19 that way. It's only specific questions within studies
20 that you would be worried about recall bias. There
21 are certain questions that are so straightforward that
22 there is no recall about it at all.

23 Thirdly, if you look at the pesticide
24 study, if recall bias was an issue, for every single

1 pesticide, we should see an overestimate of risk. If
2 it was that bad, we should see for every single
3 question, for every single pesticide, we should see an
4 odds ratio that's greater than one, and we don't see
5 that. It's a concern, but for you to just knock out
6 all these studies, I think that's too extreme.

7 **DR. ANNA LOWIT:** So Dr. McManaman, this
8 is Anna Lowit. I just want to make sure that we're
9 answering the agency's question.

10 **DR. JIM MCMANAMAN:** I think we are.
11 The whole idea is that Dr. Portier -- according to the
12 guidelines, I guess, as I understood what Dr. Portier
13 was saying, is that if there's epidemiology data that
14 suggests that there's a link to cancer, then that ends
15 the game right there.

16 Because I know there was a
17 disagreement, I was trying to get that brought out
18 about the legitimacy of that claim. Because if as a
19 consensus, the panel agrees that there is epidemiology
20 data to link glyphosate with human cancers, then I
21 think that we can go home right now, right?

22 **DR. ANNA LOWIT:** The panel does not
23 have to be in consensus.

24 **DR. JIM MCMANAMAN:** I know. I agree.

1 But I was just trying to get --

2 **DR. ANNA LOWIT:** I'm just concerned
3 that Dr. Crump actually didn't even get his opinion on
4 the record, if he was suggestive or not likely. I
5 just want to make sure that individuals are getting
6 their opinion on the record and that we're not redoing
7 the discussion that we had over the last couple of
8 days.

9 **DR. JIM MCMANAMAN:** Okay. Well, we can
10 -- let's do this. Let's take a break and we can come
11 back and make sure that everyone gets their opinion on
12 the record. Just a bathroom break. A bile break.
13 Five minutes.

14

15 **[WHEREAS A BREAK WAS TAKEN]**

16

17 **DR. JIM MCMANAMAN:** So what we want to
18 do -- where is Ken Portier?

19 He's out. Okay. We're trying to open
20 this. I think there are critical questions, so I'm
21 trying to get the discussion going on. But each
22 person will have a chance to say yay or nay on what
23 their views are. But I just wanted to address the
24 major considerations in terms of this charge question.

1 That's the method in my madness.

2 Dr. Crump wanted to have a couple of
3 responses. I really don't want to go into the
4 validity, but I just wanted to try to address the
5 concerns, the statistical concerns or the
6 epidemiological concerns, that would inform the
7 evidence that there may be some human data suggesting
8 that there is a link.

9 **DR. KENNY CRUMP:** Well, first of all,
10 Dr. Johnson, said some things that made me stop and
11 think a while ago. Maybe I overstated before, but I
12 don't think this recall bias applies to all case-
13 control studies; and not even case-control studies
14 where they assess exposures by polling the cases and
15 controls. It may be that it only happens in cases
16 like this of pesticides, which it's very difficult to
17 remember the pesticides you're exposed to.

18 I don't mean to imply that all case-
19 control studies have this bias, but I think they could
20 all have it in these cases where you have these
21 pesticides. You have to remember what you had in the
22 past.

23 I would like to say one more thing
24 about what Dr. Sheppard presented, which I'm still

1 trying to understand exactly what's the point she's
2 making. But that's my fault, I'll work on that.

3 I would like to point out that the only
4 reason I presented this data from this old study --
5 first of all, it's the only study I could find that
6 even tried to evaluate recall bias. It's a real old
7 study. I don't think this issue has been studied very
8 completely. In fact, when I analyzed the data, I got
9 something very different from what the authors
10 concluded, which, by the way, they concluded without
11 any sophisticated analysis, like Dr. Sheppard has
12 presented. I thought it was worth presenting it.

13 But my main point in my presentation
14 was the table that I presented that dealt with the
15 evidence for control recall bias in these glyphosate
16 studies. I think that's what we need to, perhaps,
17 focus on. I saw that the data that I presented, I
18 thought, is just what you expect to see if recall bias
19 could explain all of the results. And there are other
20 problems, of course, with these studies, but I think
21 possibly, recall bias could explain all of those
22 findings in those studies. And I think that table I
23 presented, at least, is consistent with that.

24 **DR. JIM MCMANAMAN:** So that would be a

1 discount of that information. Before we go to Dr.
2 Green, Dr. Zeltermann told me that he disagreed with
3 Dr. Sheppard's analysis and that he had mathematical
4 proof.

5 **DR. DANIEL ZELTERMAN:** No, no, no, it's
6 quick. If we measure everybody twice, which is
7 essentially what's going on here, you look at the
8 concordant pairs, you look at the discordant pairs.
9 In the first table, everybody is asked were you
10 exposed?

11 **DR. JIM MCMANAMAN:** Dr. Zeltermann, get
12 closer to your microphone.

13 **DR. DANIEL ZELTERMAN:** The people who
14 change their minds at 17 and zero, all right, it's the
15 discordant pairs; the people who said one thing on one
16 survey and then something else on the other survey.
17 And then if we look at the second table, it's 32 and
18 zero, the discordant pairs. This is what we do when
19 we have a lot of epidemiologic studies. We look at
20 only the discordant pairs.

21 Okay. Of those who change it's
22 invariably -- the first hypothesis is that invariably,
23 it's upon probing those who initially said they
24 weren't exposed, said that they would be exposed. We

1 can only really go in one direction. That's
2 hypothesis number one; and there's your intraocular 17
3 and zero, 32 and zero. It's intraocular, as Dr.
4 Sheppard calls it.

5 Now, of those who are at risk for
6 changing their mind. Who is at risk, again, the
7 epidemiologic term? In the first table, there's only
8 35 who said no, as they're volunteering their risk,
9 and 84 volunteering the risk. So how many individuals
10 at risk for changing their mind? Music.

11 Okay. In the first table, it's 17 out
12 of 35 and in the second table, it's 32 out of 84,
13 which work out to, among the cases, it's almost 49
14 percent are going to change their mind. And among the
15 controls in the second table, it's 38 percent.

16 In other words, among the cases, they
17 are much more likely to say that they were exposed
18 upon the second probing of finding out. In other
19 words, those who are already cases, the effect is
20 going to be bigger because now the cases are saying
21 that they were more likely to be exposed. There's
22 more likely to be a change in the first table than in
23 the second table. This is going to increase the
24 effect size.

1 **DR. LIANNE SHEPPARD:** I don't agree
2 with saying that you're not at risk of changing your
3 mind on further probing unless you had zero
4 pesticides.

5 I think everybody who was interviewed a
6 second time, which is 91 for cases and 172 for
7 controls, is at risk for changing their mind. And
8 therefore, if you if you want to do it by at risk,
9 then I think you should use the changeover total
10 estimate in the table. I don't think it's appropriate
11 to also condition on their response.

12 **DR. JIM MCMANAMAN:** Yes, Dr. Taioli.

13 **DR. EMANUELA TAIOLI:** Yes. I don't
14 think I would restrict recall bias to this case; it's
15 a problem of case-control studies. Limitation is
16 recall bias, no matter what, because you're always
17 asked what was your weight when you were 18? What was
18 your height last month? Whatever. Even things that
19 don't change, you know, how many kids you had? And
20 believe it or not, there are people, it happened to
21 me, who don't remember how many kids they had.

22 **DR. JIM MCMANAMAN:** Right. This is a
23 detail that is not --

24 **DR. EMANUELA TAIOLI:** No, but it's the

1 problem of this studies.

2 **DR. JIM MCMANAMAN:** Yeah. The question
3 is, it's the validity of your opinion that this is a
4 real link. And so, there are two schools of thought;
5 one is that that opinion may be biased by people
6 changing their mind because of recall bias. And the
7 other is, as Dr. Sheppard is championing, is that
8 there really isn't that, so it tends to validate that
9 point of view.

10 Without going into any more detail
11 about the two schools of thought, I think we've
12 established that -- let me go back. Okay. This is
13 Dr. Johnson.

14 **DR. ERIC JOHNSON:** I really don't think
15 we should pursue this further because then the issue
16 of interview bias come into play.

17 **DR. JIM MCMANAMAN:** Yes.

18 **DR. ERIC JOHNSON:** So we might be able
19 to distinguish between interview bias and recall bias.

20 **DR. JIM MCMANAMAN:** You're right. We
21 have some idea of the spectrum of abuse.

22 **DR. ERIC JOHNSON:** But I think it's
23 also sufficient to say recall bias may be a problem.
24 That's it.

1 DR. JIM MCMANAMAN: Okay. Dr. Portier.

2 DR. KENNETH PORTIER: I was going to
3 slightly changed the topic. One of the things that
4 Dr. Taioli mentioned is the fact that all of the odds
5 ratios are above one. The point estimates are above
6 one. Now, the confidence intervals, right, drop below
7 one, on all of them. And to my way of thinking,
8 that's where the meta-analysis was supposed to come
9 in, right. It's supposed to come in and say when we
10 take the totality of the understanding of these
11 studies and we put them together, what do we got?

12 And to me, that was a good part of the
13 report, was they went there, they went into that and
14 try to discuss it. And the thing that got me is that
15 the lower bound on that was like 1.03, right. It's
16 above one, but oh, my gosh, it's very little above one
17 right.

18 DR. EMANUEL TAIOLI: But look at the I^2
19 (square); the heterogeneity is zero. That means
20 they're really drawn from the same population. It's
21 very rare, as you know better than I do; that usually,
22 when you have 25 percent of the heterogeneity you are
23 happy because it's kind of low. This is zero.

24 And the other thing is that a lot of

1 public health decisions, such as removing the
2 treatment of post-menopausal estrogen, have been based
3 on odds ratio that were much lower than this.

4 This is a valuable number.

5 **DR. KENNETH PORTIER:** I agree. And I
6 looked at this. I was going to say, when I saw this,
7 I had the same reaction, you know. My stomach kind of
8 turns over and I say yeah, they are not significant,
9 but they're all above one. And then I looked at the
10 meta-analysis and said okay. And I saw the I^2
11 (square) and I said okay, yeah.

12 Then I took it to my epidemiologist.
13 You know, I have two epidemiologists with 30 plus
14 years of cancer epidemiology; and I showed them Figure
15 3.2 and they said oh, yeah, I don't see a signal.
16 They were not impressed with 1.2 or 1.0 or 1.3. I
17 think they were looking at the upper-end of the
18 confidence bound, something we're not looking at, and
19 say these bands are pretty wide on the other end.
20 That indicates that individually, the studies weren't
21 well-estimated.

22 I mean, you're right; they're
23 estimating the same odds ratio. They're no getting
24 around that. But they were not particularly impressed

1 with either the size of the average odds ratio or the
2 meta-analysis. And that's why I stopped thinking
3 about it beyond that.

4 **DR. JIM MCMANAMAN:** Okay. Thank you,
5 Dr. Portier. Dr. Johnson.

6 **DR. ERIC JOHNSON:** So I don't think we
7 should delve into this too much because I think we
8 have so few studies to worry about. For example, the
9 non-Hodgkin lymphoma, that we can examine each of
10 those studies specifically for not only recall bias,
11 but for other types of biases.

12 There is one study I remember looking
13 at in which every single odds ratio, whether it was
14 for fungicide, insecticides, and subgroups of those,
15 all of them were below one, which got me worried. How
16 could all of these odds ratios be below one?

17 One of the studies which I got -- I'll
18 pull it up. There are issues with individual studies,
19 and we just have to focus on the ones which are
20 important for this evaluation.

21 **DR. JIM MCMANAMAN:** Thank you, Dr.
22 Johnson. Dr. Green.

23 **DR. LAURA GREEN:** I wanted to add
24 something which I think would be helpful for the

1 Agency. It is responsive to the charge question and I
2 think it would actually allow more consensus than
3 maybe is apparent; although, Dr. Sheppard is going to
4 add something, I believe.

5 Strength of association is an important
6 characteristic in any causation assessment. And it's
7 important characteristic when you're trying to worry
8 about residual confounding biasing things, either away
9 from the null or toward the null. I believe that Dr.
10 Crump's concerns about recall bias, my concerns about
11 confounding by the biological and antigenic
12 stimulations on farms, would be obviated, were the
13 estimates either from individual studies or the meta-
14 analysis larger with tighter confidence intervals?

15 And I don't even really care about the
16 tightness of the confidence interval, I'm talking
17 about the strength of the association.

18 I have at least two concerns about
19 biasing away from the null. Professor Sheppard has a
20 concern about biasing toward the null. But the truth
21 is, these confounders can only account for excess or
22 less odds ratios of like, .5 or something, right? I
23 mean, no amount of recall bias is going to account for
24 an odds ratio of 10 or five, or even four. And when

1 you speak, Professor Taioli -- and I think you're
2 exactly right -- when you speak about true positives
3 in either air pollution or women's health, when you
4 speak of a true positive with odds ratio of only 1.2
5 or even 1.02, yeah, this is very significant.

6 But the reason that it's different here
7 is that we have pretty good confidence that the
8 estrogen heart disease in women thing is reasonably
9 unconfounded; although understand there are
10 socioeconomic issues, blah, blah, blah. But I guess
11 my point is, again, uniquely for lymphoma, which has
12 been associated with farming since before I was born,
13 anything that covaries with farming is going to covary
14 with risk of lymphoma.

15 We are stuck with this, which is why
16 Professor Johnson and I and all of us, and Dr. Zhang,
17 are hoping that in the future there will be data on
18 glyphosate-exposed workers who are not farmers. And
19 we can finally get to the issue of glyphosate alone,
20 whether in formulation or not, not confounded by
21 exposure problems because we don't know how much, you
22 know, what a pesticide applicator is really exposed
23 to. Look at their ranges; they span like two orders
24 of magnitude in range estimates, for what a pesticide

1 applicator is exposed to.

2 If we had factory studies, like in the
3 old days with benzene and leukemia, where we knew the
4 exposure, where we did not feel there was important
5 confounding by other causes of leukemia -- like
6 working in factory is not leukemogenic, as far as we
7 know -- but for benzene, right.

8 The real problem here, it seems to me,
9 is that the strength of the association is small.
10 Yes, it's often larger than one, which is why we worry
11 about whether there's a systematic bias away from the
12 null. And we have explained, I feel, why there are
13 systematic biases away from the null. These are
14 farmers exposed to antigenic stimuli; and antigenic
15 stimuli and lymphoma are hand-in-hand, right. People
16 who have tuberculosis and malaria, for example,
17 chronically get lymphoma at three or four times above
18 normal.

19 There are many reasons to be concerned.
20 And I want to ask Dr. Sheppard to talk about why she's
21 concerned in the opposite direction. But I think what
22 it comes down to, for your causation assessment and
23 the weight of the evidence, is the strength of the
24 association. We would all be in agreement if the

1 association was stronger.

2 **DR. JIM MCMANAMAN:** If it was black and
3 white there would be no discussion. I will give Dr.
4 Sheppard one chance to respond and then we're going to
5 move on to the others.

6 **DR. LIANNE SHEPPARD:** Yeah. I would
7 agree with both of those. With respect to what you
8 were eliciting from me, measurement error bias is
9 actually pretty important, particularly in this kind
10 of recall kind of situation. And in fact, Dr. Crump's
11 analysis yesterday, from the Blair and Zahm paper,
12 showed really pretty good evidence of when you reduce
13 measurement error you see a bigger effect, a case-
14 control effect, which is related to the pesticide
15 exposure. It was a nice example, in general, of that
16 impact, I think, other than the highest group where
17 there were really small numbers that was seen in that
18 analysis.

19 I also wanted to say that our
20 difference of opinion about the epi data is, I think,
21 consistent with my understanding of what IRAC
22 concluded with respect to the epi data, that there's
23 some inkling of something there, but for lots of
24 reasons, you're worried about it. And we've heard

1 around the table, lots of reasons why were worried
2 about it; but it shouldn't be ignored. But perhaps
3 more of the evidence base should be based on the
4 animal data where the signal is a lot clearer.

5 **DR. JIM MCMANAMAN:** With that, let's
6 move to the annual data. Dr. Parsons had some very
7 cogent concepts and comments about the validity of the
8 animal data.

9 **DR. LUOPING ZHANG:** Before we go to
10 animal data, could I address some human data? My
11 light was on forever.

12 **DR. JIM MCMANAMAN:** Sure.

13 **DR. LUOPING ZHANG:** You're trying to
14 ignore me, but it's okay because my last name is Z.
15 But anyway.

16 **DR. JIM MCMANAMAN:** Dr. Zhang.

17 **DR. LUOPING ZHANG:** I actually
18 appreciate, Dr. Chairman, really focusing, for the
19 final conclusion, the human data is important for the
20 human study. And now we're actually sort of -- all
21 the past discussion was focused on non-Hodgkin
22 lymphoma. I would like to, back to before the Charge
23 Question 2(d), which actually, I think we didn't get
24 to that 2(d). One of them is to comment on the

1 conclusion of the agents. Let me just try to see
2 this.

3 EPA conclusions is here. I just read.
4 "NHL based on the weight-of-evidence, the Agency
5 cannot exclude chance and/or bias as an explanation
6 for observed associations in the database. Due to
7 study limitations and the contradictory results across
8 studies of at least equal quality, a conclusion
9 regarding the association between glyphosate exposure
10 and the risk for non-Hodgkin lymphoma cannot be
11 determined based on the available data." That's the
12 EPA conclusion.

13 Actually, I think yesterday when we got
14 to 2(d), we didn't really, you know, elaborate on
15 that. I would like to pull it back.

16 I'm actually thinking, I only express
17 myself, opinion now to the 2(d) team. Because I
18 haven't discussed this with my team yet, even though I
19 had a long meeting last night.

20 But I think we should think about it
21 and re-address the key question. I think the key
22 question for 2(d) would be whether or not there is a
23 potential of glyphosate associated non-Hodgkin
24 lymphoma risk in exposed humans.

1 If a question is addressed this way, I
2 would say, based on the weight-of-evidence, from old
3 data, that was obstructed from old qualified and
4 available human studies, for example, now, from 24 and
5 then subtracted to six. I actually would say, I
6 cannot exclude the possibility and/or the likelihood
7 of a preserve with a positive association between
8 glyphosate exposure and the risk of non-Hodgkin
9 lymphoma, even though study limitations and
10 contradictory results across the studies remained.

11 I don't know. My consent is why the
12 Agency has said, cannot exclude the chance and the
13 bias, why we cannot address it? We cannot exclude the
14 possibility and the likelihood, right? Back to the
15 EPA, 2005, the (inaudible). If we look at the
16 suggestive evidence of the carcinogenic potential,
17 you're only need a single positive cancer results, if
18 I understand it correctly.

19 I think for the human data, again, six
20 of them toward one, right? And this is a question I
21 got confused from Dr. Portier's comments on the meta-
22 analysis. From what I hear from you yesterday, meta-
23 analysis is useful, especially in this situation, six
24 studies, very tight. And even though small increase

1 the of relative risk, but if you combine them
2 together, that's significant. Significant is
3 significant.

4 You increase 20 percent or 30 percent.
5 But what we want to address is, can we really exclude
6 the likelihood or possibility from the human data?
7 That's actually, unless it's my consent, I'm from
8 public health, and actually, I did -- one more thing I
9 wanted to -- I didn't plan to, but I put on here. On
10 my report, the first thing I quoted is, quoted from
11 EPA, ethics training. Public service is public trust.
12 To serve with honor. Here is what we're here for.

13 We follow the precautionary principle
14 to protect the public health. That's why I think we
15 should think, how should we really frame our key
16 questions to protect the public health. Okay. Back
17 to you. Your question is, is meta-analysis --

18 **DR. ANNA LOWIT:** Okay. Dr. McManaman,
19 we have 30 minutes until the adjournment of the
20 meeting. And I appreciate Dr. Zhang's comment of
21 suggested, because it's answering our question. I
22 will take offense to the suggestion that we're not
23 being good public servants, on behalf of my team.
24 That we do take offense to that suggestion.

1 We still haven't heard Dr. Crump's
2 answer the question; and nor have we heard a number of
3 other panelists, including Dr. Ehrich on the phone.
4 We would like to hear from a plethora of viewpoints.

5 **DR. JIM MCMANAMAN:** Dr. Zhang, are you
6 --

7 **DR. LUOPING ZHANG:** I haven't finished.
8 Because I want to also echo what Dr. Green said
9 earlier about how to systematically look at the data.
10 To me actually, I think human NHL data we shouldn't
11 exclude; and should consider carefully and
12 scientifically, and fairly.

13 But also, I want to bring the next
14 point is -- for example, Dr. Green brought up benzene.
15 Benzene is a human leukemogen, but there is no animal
16 data. There's no animal model to test if benzene can
17 cause leukemia in any animal model.

18 But I think here, for glyphosate --
19 this is another thing I wanted to add -- for the
20 rodent carcinogenicity test, especially for lymphoma,
21 I think the agency's only, including Wood (2009)
22 studies, but the European one, they include five more.
23 Actually, I thought the Agency didn't have the paper,
24 but now I find out you that you do have it.

1 I think we should look at the lymphoma
2 results in the mice model a little bit more carefully
3 as well. In a way, I actually felt, is that a
4 coincidence or is it a real potential or likelihood
5 for glyphosate. Could it possibly cause lymphoma?
6 Because we see human data and it's suggestive with the
7 animal data. I feel that's something we maybe needed
8 to think systematically or holistically.

9 But unfortunately, I think, I want to
10 also say again, immunotoxicity data was kind of
11 missing and the one we have is really not good. But
12 the new study I brought in today actually would be
13 possible to suggest, you know, that -- if the
14 glyphosate involved in metabolic pathways or fatty
15 acids pathways, that's all linked into these
16 regulation of the immuno-response. There is some
17 holistic response to lymphoma. That's my comment.

18 **DR. JIM MCMANAMAN:** Okay. Let's open
19 it up to some of the other panel members. Marion, are
20 you still on the line?

21 **DR. MARION EHRICH:** I am. I just had
22 it on mute.

23 **DR. JIM MCMANAMAN:** Okay.

24 **DR. MARION EHRICH:** Did you want my

1 comment? I didn't think there was this controversy
2 about this White Paper. I thought it was pretty clear
3 as it was written. I'm a little surprised to see that
4 there was this much controversy, because I thought the
5 EPA did a pretty good job of going through everything.
6 You know, I just didn't think it was going to be as
7 controversial as it's turning out to be. I guess
8 that's my biggest comment right there.

9 **DR. JIM MCMANAMAN:** Okay. You would
10 agree with it's not likely?

11 **DR. MARION EHRICH:** I would agree with
12 it's not likely because that's what the EPA says.

13 **DR. JIM MCMANAMAN:** Okay.

14 **DR. MARION EHRICH:** I looked at their
15 data and I looked at how they looked at everything and
16 there just isn't enough there. It's just not enough.
17 It's going to be reviewed again in another, what --

18 **DR. EMANUELA TAIOLI:** Not enough is not
19 "not likely." Those are two different things. If
20 your idea is not enough, it's a different concept.
21 That is different.

22 **DR. KENNETH PORTIER:** I think I've
23 captured the epi discussion and the disagreement and I
24 think we'll be able to address that under the issue of

1 consistency of signal, and plausibility, and under
2 uncertainty. I mean, we'll kind of address those
3 three things under the epi discussion.

4 You know, if I would, I'd like to take
5 it back to Dr. Parsons for just one minute --

6 **DR. JIM MCMANAMAN:** I agree.

7 **DR. KENNETH PORTIER:** -- on the animal
8 stuff. Because I was thinking about your
9 justification for thinking that the lowest dose of
10 which a cancer was observed. But if you look at that
11 study, actually, if you take the exact test, there
12 isn't a significant trend. And the multiple
13 comparisons don't show any differences among the
14 group. And the lowest dose is right at the historical
15 control; although it would be nice to know how old the
16 historical controls are.

17 It's hard to go that far down to
18 whatever it was, 3.05 milligrams as a lowest
19 observable effect level. And I would kind of say
20 significant. Most of us would look at that and say,
21 that's probably still randomness down that low.

22 I'd ask you to comment against that.
23 I'm going to pushback on that in terms of --

24 **DR. BARBARA PARSONS:** Okay. I don't

1 understand --

2 DR. KENNETH PORTIER: -- my statistics

3 --

4

5 DR. BARBARA PARSONS: I don't

6 understand. It's not an exact test. This P value --

7 DR. KENNETH PORTIER: Right. The test

8 they did were approximate tests. And the things that

9 Joe Haseman showed is that doing an exact test takes

10 it from like .04 up to a .065; which at .05 level, you

11 would say that's not a significant trend test. I

12 think that was right. I have to go look it up.

13 Almost all the trend tests were not significant.

14 DR. JIM MCMANAMAN: Dr. Parsons. Let's

15 let Dr. Parsons --

16 DR. KENNETH PORTIER: Under the exact

17 tests, almost all the trend tests disappear.

18 DR. ERIC JOHNSON: Just a point of

19 order, I'm a little bit confused. I thought we were

20 discussing the epidemiological.

21 DR. JIM MCMANAMAN: No. We finished

22 with that.

23 DR. ERIC JOHNSON: No. No. Because

24 you did not give us a chance -- I mean, there are --

1 DR. JIM MCMANAMAN: Well, no, you'll
2 get a chance to --

3 DR. ERIC JOHNSON: No, no. You did not
4 give me a chance. I only addressed Dr. --

5 DR. JIM MCMANAMAN: Oh. I thought you
6 addressed that.

7 DR. ERIC JOHNSON: No, no. Only Dr.
8 Crump's issue of recall bias. You did not ask us to
9 tell you our overall evaluation of the data.

10 DR. JIM MCMANAMAN: Okay. All right.
11 Go ahead.

12 DR. ERIC JOHNSON: So the first thing
13 is that, I think, the last descriptor, which say
14 discussions with no evidence -- the last one. What
15 was it now?

16 DR. LAURA GREEN: Not likely.

17 DR. ERIC JOHNSON: Not likely to be
18 carcinogen to humans. That descriptor, I have
19 difficulty with this. I think it's directed against
20 the animal studies, not epi studies. If you look at
21 all four criteria, it either directly addresses them
22 or implies animal studies. There is no guidance there
23 for epidemiologic studies.

24 I go to the next criterion, which is

1 the one suggestive of evidence and, again, two of
2 those four guidelines are directly animal, of the
3 animal studies. Of the first two, one simply says --
4 let me read what it says. And that's the only one
5 that applies to epi studies. I wish they would put
6 these things up for us when we discuss them. That
7 would be some help.

8 Suggestive evidence. It says, "If a
9 small and possibly not statistically significant
10 increase in tumor incidence is observed in a single
11 animal or human study." There is only one human
12 study. If you observe possibly not statistically
13 significant increase, that does not reach the weight
14 of evidence for the description of likely to be
15 carcinogenic to humans. That is enough to be
16 classified as suggestive.

17 **DR. LAURA GREEN:** No, but you need to
18 read the next sentence.

19 **DR. ERIC JOHNSON:** Okay. "The study
20 generally would not be contradicted by other studies
21 of equal equality in the same population group or
22 experimental system."

23 Now, that statement, to me, when we
24 look at non-Hodgkin lymphoma, we do have, not only in

1 one study, an elevated non-statistically significant
2 result, but consistently, I think in five of the six
3 studies, they were all elevated above twofold. And in
4 one of them, it was actually statistically
5 significant. And in fact, in two of them, it was
6 actually statistically significant. And in one of
7 those, they did control for all the multitude of
8 pesticides, which is the strongest adjustment you
9 could make, and the other one they did not control.

10 That group, the non-Hodgkin lymphoma,
11 to me, if I use that single criterion, makes it just
12 logically that a conclusion has to be this criterion.
13 Bearing in mind that the fourth criterion, of not
14 likely to be carcinogenic, does not seem to apply to
15 human studies to me.

16 **DR. LUOPING ZHANG:** Can I add one more?
17 Plus, the dose response.

18 **DR. JIM MCMANAMAN:** Thank you, Dr.
19 Johnson.

20 **DR. LUOPING ZHANG:** Plus the dose
21 response, also, detected in that study.

22 **DR. JIM MCMANAMAN:** Thank you, Dr.
23 Zhang. Okay. Well, let's finish up this. Dr. Crump,
24 what's your overall view about the relevance of the

1 human data?

2 I thought I heard you, but maybe I
3 didn't. There's concern that you didn't express your
4 views about the epidemiology data related to humans.
5 I thought I heard you, but maybe not. Do you want to
6 reiterate that?

7 **DR. KENNY CRUMP:** I think I've stated
8 it several times. I don't think we can rule out the
9 possibility that these findings are all related to
10 recall bias. I thought the table I presented the
11 other day certainly suggested. That's exactly what
12 you'd expect to see if there was a problem with a
13 control bias.

14 And I can't use these data in any
15 positive way to suggest an effect on non-Hodgkin
16 lymphoma because of that problem. And there are other
17 problems with the studies too. But I think, in my
18 view, recall bias could be responsible for all those
19 results.

20 **DR. JIM MCMANAMAN:** Okay. Thank you.
21 We want to finish up with the animal data, if we can.
22 We're open now to the question about whether there's
23 data in the animal literature that is suggestive of a
24 link. We'll go back to Dr. Parsons or Dr. Ramesh or

1 anybody who wants to add into this.

2 **DR. BARBARA PARSONS:** Do you want me to
3 answer the question that Dr. Portier posed?

4 **DR. KENNETH PORTIER:** You're the one
5 that kind of strongly came out that said, you know,
6 you see a signal in the animal data.

7 **DR. BARBARA PARSONS:** I did.

8 **DR. KENNETH PORTIER:** And I think the
9 rest of us do have to kind of chime in on that.

10 **DR. BARBARA PARSONS:** My guiding
11 principle was to adhere to what the guidelines are
12 directing us to do, how to evaluate the data. I think
13 this is critically important for a situation where
14 there are competing public interests. There is a
15 difficult risk management decision ahead. I totally
16 see that; and because that's what we were asked to do.
17 We were asked to evaluate how EPA evaluated the data,
18 based on what is prescribed in the cancer risk
19 assessment guidelines.

20 As I explained in my remarks, my
21 reading of the guidelines is that a significant trend
22 test, with the P value below 0.01, should be accepted
23 as evidence of a carcinogenic response. In my mind,
24 there is no reason to discount that. And I have given

1 my argument for why I don't accept some of the reasons
2 that EA has argued that those should be discounted.

3 Now, I'm not absolutely wedded to this
4 31 mg per kilogram per day being the most critical low
5 dose number. If someone -- I'm not a statistician --
6 if you can give me a reason why that should not be
7 used, okay. But my reading of how we're supposed to
8 evaluate the data, based on the guidelines, I'm just
9 not comfortable with -- I'm not going to say
10 discarding -- but discounting significant effects,
11 particularly, just because they may be due to chance.

12 There is a public health issue here
13 where our job is not to come to our conclusion based
14 on the criteria that we can accept no false positives.
15 I don't think that's our job.

16 **DR. LAURA GREEN:** But, Dr. Parsons,
17 here's the central reason that this night is different
18 from all other nights, if I can put a little Judaism
19 in here. As we've said ad nauseam, this is not a
20 situation where we have one or two bioassays and
21 nothing else.

22 We have 15 bioassays or so, depending
23 on how you count. The guidelines that EPA plays by
24 very specifically say the study generally -- meaning,

1 let's take Lankas et al., let's take the Leydig cell
2 tumor response at 31.5 mg per kg. The study, Lankas,
3 generally would not be contradicted by other studies
4 of equal quality in a same experimental system.

5 We have nine rat studies, three of them
6 in the Sprague Dawley. We have three, maybe four, but
7 at least three I can think of, three tests of the
8 question, does glyphosate cause Leydig cell tumors?

9 One test says yes. At 31.5 mg per kg, with a
10 significant trend test. And by the way, Ken, I didn't
11 understand what you said before because I think it is
12 a significant trend test I think that's what Professor
13 Zelterman said, it's not .009.

14 Dr. Parsons is 100 percent correct,
15 that if we had that bioassay result and nothing
16 contradicting it, I would be with you. Okay? But
17 first of all, it's the 1981 study in an outbred animal
18 that went for 26 months. And as I've mentioned, Dr.
19 Boorman and others discount that for very good
20 pathological reasons.

21 Regardless, Stout and Rueckerf, using
22 the same Sprague Dawley animals, repeated the assay,
23 not 31 mg per kg, but all the way up to 1 gram per kg,
24 and failed to find any Leydig cell tumor response. No

1 suggestive, but nothing. Bupkis. And then it was
2 done another set of Sprague Dawley rats.

3 It seems to me, given EPA's Guidelines,
4 which say when you have contradictory evidence you
5 should stop and think about it, given the pathologic
6 problems of diagnosing Leydig cell tumors in aged
7 Sprague Dawley rats, I just do not see how one can
8 hang one's hat on that response, per their own
9 guidelines and frankly, per what I understand to be
10 the pathology of this tumor.

11 **DR. LIANNE SHEPPARD:** Can I say
12 something?

13 **DR. JIM MCMANAMAN:** Yes.

14 **DR. LIANNE SHEPPARD:** I mean, one of
15 the problems is that counting tests doesn't really
16 help us navigate this multiple testing problem. We
17 really need to help the EPA move forward, I think,
18 with a recommendation that helps them consolidate the
19 evidence in a more thorough and balance way that
20 includes all the negative and positive studies in one
21 analysis.

22 **DR. JIM MCMANAMAN:** Dr. Crump? That
23 was Dr. Sheppard.

24 **DR. KENNY CRUMP:** I want to say I

1 appreciate the careful thought that Dr. Parsons has
2 given to all these issues and I've enjoyed her
3 comments very much. But I do want to comment on the
4 testes tumors in the Sprague Dawley rats.

5 First of all, I think the rule in the
6 guidelines, that it should be less than 1 percent in a
7 common tumor and 5 percent in a rare tumor. I think
8 that's just a rule of thumb that was developed many
9 years ago, and I don't think we should think it
10 applies as an overall. I think we can do something
11 better than that. I don't agree that we should always
12 apply that particular rule as a hard and fast rule,
13 particularly with so much data. They were thinking of
14 applying it to a single study.

15 It seems to me the testes tumors in
16 male Dawley rats, if there's ever a case for ruling
17 that as being incidental, I think this should be it.
18 The high dose was very low. It was an old study. In
19 fact, Greim et al. says we shouldn't consider that
20 study because the doses were too low.

21 And there have been four other studies
22 in the same species, same strain, the same sex, and
23 none of them show any evidence of an effect in this
24 kind of tumor. One of the studies have a negative

1 dose response. Not significant but negative.

2 In male Wistar rats, there are two
3 studies there. They all give negative dose responses.
4 Again, not significant, but they are all negative. I
5 think if there was ever a case we could consider that
6 this was an incidental finding, it would have to be
7 this case. There's been so much evidence against that
8 being a real effect.

9 **DR. JIM MCMANAMAN:** Dr. Ramesh.

10 **DR. ARMANDLA RAMESH:** I think part of
11 the problem we are breaking our heads is lack of
12 literature on glyphosate. Part of the reason is there
13 are not that many publications from academia. Being a
14 researcher from academia, the trend is we are not that
15 much fascinated, not toward glyphosate. For that
16 matter, not towards any chemical if no one dies, if no
17 one becomes important, if it doesn't pose a
18 significant health issue enough for us to write a
19 grant application and request for funding.

20 And our resources do not permit to
21 embark studies of this on our own and spend our
22 resources. The very fact that no farmer or his spouse
23 became important, no one has died of cancer or no one
24 has any significant health issue, it stresses the

1 point that no amount of whatever dose you use, either
2 for an animal or human, lesser proportion of it gets
3 into the body to disrupt cellular homeostasis or to
4 affect the cellular macromolecules and to bring out
5 any adverse health effect.

6 The Agency has to go with the kind of
7 studies that they have. Well, probably, after hearing
8 all of our deliberations, we suggested that they
9 revise their White Paper, clarifying some of the
10 issues raised. But that is not going to change the
11 notion that it is not likely to be carcinogenic to
12 humans. That's what my personal take from this is.

13 **DR. JIM MCMANAMAN:** Thank you, Dr.
14 Ramesh. Dr. Sobrian.

15 **DR. SONYA SOBRIAN:** After what I've
16 heard when we discussed Question 3, I'm a little
17 surprised at what I'm hearing now. I think
18 everybody's focusing on the on the first study in rat,
19 which may or may not be the one to focus on. You're
20 forgetting that there are 15 studies. And if you look
21 at the table, which you don't have now, that was
22 presented by Dr. Niemen, as you called the German
23 fella.

24 Anyway, if you look at what you see in

1 mice, you see a really different story. If look at a
2 malignant lymphoma, he's got equivocal for three of
3 the five studies. If you look at kidney tumors, he's
4 got equivocal for, again, three of the five studies
5 and for hemangioma and sarcoma, he's got at least two
6 equivocal. There's some signal there.

7 I think during the discussion of
8 Question 3, we pointed out where some of the -- I
9 don't want to call it shortcomings, but some of
10 differences in the way that the panel versus EPA
11 looked at the data that were presented.

12 I mean, we had difference in opinions
13 about the use of historical controls, which a lot of
14 people spoke to. We had differences in opinion about
15 what kind of statistics to use and if trend were
16 enough or if you needed pairwise comparison. There
17 were a lot of issues.

18 I think we're ignoring that and getting
19 stuck on Lankas. And also, we've talked -- or you've
20 talked about -- transparency. And I'm not saying that
21 the agency's not transparent, but how many academics
22 can get the data that's 10G? There is an issue with
23 transparency.

24 The other issue I brought up was when

1 we were asked to do Question 3(d), about how the
2 Agency look that preneoplastic lesions. But that's
3 not to say that people are not transparent, they're
4 just issues.

5 But I think there is a signal. I mean,
6 from all the discussion that people seem to be backing
7 away from now, which I find really interesting, that
8 there is a signal. There's something going on. To
9 say that it's the last -- whatever -- I mean, I think
10 it may be suggestive. I like Dr. Green's equivocal
11 but since we can't use that, I think there is
12 something going on in the animal data that I would
13 find that hard to just, out of hand, ignore.

14 **DR. JIM MCMANAMAN:** Well, one minute.
15 Coming back, the question is please comment on the
16 completeness, transparency and scientific quality of
17 the agency's characterization of the carcinogenic
18 potential of glyphosate. And Dr. Portier set the
19 stage for us for this discussion by going through
20 those systematically.

21 And I think that there would be little
22 -- I haven't heard anyone say that they disagreed with
23 his assessment that it has been complete. That it was
24 relatively transparent, although, maybe, Dr. Sobrian

1 saying there may be some issues here. It's a
2 scientific quality, I think, that the questions are
3 revolving around right now.

4 And I think the discussions have
5 brought out the limitations of what the panel's
6 understanding is about the scientific quality of both
7 the human epidemiological studies and the animal
8 studies. And I think that as a panel we don't have to
9 agree, but I think that the discussions have addressed
10 the -- unless someone wants to say that they disagree
11 with Dr. Portier's initial assessment, I think the
12 panel pretty much agrees with his first three points.
13 And it's the scientific quality that I think that
14 we're struggling with.

15 I'd like to hear from the panel if they
16 have a problem with the transparentness or the
17 completeness. Other than that, I think we're
18 appropriate to focus on the scientific quality,
19 because that's where it really hinges. There is a
20 dearth of quality studies.

21 Dr. Portier.

22 **DR. KENNETH PORTIER:** I was going to
23 say for the notes, I see your point about
24 transparency. You're absolutely right. We can see

1 the responses, but the public can't go into the study
2 designs and look at non-neoplastic lesions and make
3 their own assessment of that kind of thing. I made a
4 note of it.

5 **DR. JIM MCMANAMAN:** Okay.

6 **DR. SONYA SOBRIAN:** That's not a
7 reflection on the Agency. That's a reflection on the
8 --

9 **DR. JIM MCMANAMAN:** On the process.
10 Yeah. Dr. Ramesh.

11 **DR. ARMANDLA RAMESH:** I think the
12 Agency in their presentations on first day, clearly
13 outline what are the study quality conservations,
14 study designs and how they made the exposure
15 assessment and outcome assessment. And they also
16 discussed about the confounding controls. They have
17 made it clear in their transparent way, what are the
18 filters that they have taken into consideration for
19 coming up with the White Paper and assessment of the
20 studies. I'm fine with it.

21 **DR. JIM MCMANAMAN:** Okay. Other
22 comments? That was Dr. Ramesh. I thought I
23 introduced him. Dr. Johnson.

24 **DR. ERIC JOHNSON:** Quick clarification.

1 Let's take the benzene situation in which for a long
2 time it was only the human data that we had to declare
3 benzene as carcinogenic. It was later on that they
4 found that benzene caused cancer in animals as well.

5 In our evaluation here, if we find
6 suggestive evidence of carcinogenicity in human
7 studies, shouldn't that override -- because the
8 ultimate target population is the human population.
9 Does the conclusion for the human study trump that of
10 the animal study, when it seems to me the consensus is
11 that there is suggestive evidence in humans, based on
12 the criteria we were given? Because if it was left to
13 me, I may have a different criterion, but this was the
14 criteria that was given. I think all of us agree that
15 it's suggestive evidence for the non-Hodgkin lymphoma.

16 Now, given that, when the animal data
17 is under general toxicity and they are all included,
18 should they end off concluding that there's no
19 evidence that this thing causes cancer?

20 **DR. JIM MCMANAMAN:** I'm going to punt
21 that because I think that Dr. Portier discussed that
22 in his initial setting up of this problem. I'll go
23 back to Ken.

24 **DR. KENNETH PORTIER:** I think when we

1 write-up this section, what I'm looking at under the
2 quality is the logical inferences. And so, what we're
3 going to do, is we're going to point out for the epi
4 data that the that some of the panel didn't agree with
5 EPA's logic that led them to a conclusion. We'll try
6 to point out the positives and the negatives and the
7 things we've talked about. And then we'll do the same
8 thing with the animal studies.

9 We don't have too much concern with the
10 genotox, but, you know, the animal studies, we're not
11 fully convinced with the logic and there's no
12 consensus. I mean, I think we'll just point out both
13 sides and try to be fair in that discussion; and
14 that's all they're asking us to do.

15 They're not asking us to make a
16 carcinogenic decision, that's their job. Our job is
17 just to say, you know, we agree with your logic, we
18 don't agree with your logic; or we don't agree, and
19 here's where we don't agree, or where we think you
20 need to shore up your logic shore up your argument.

21 **DR. JIM MCMANAMAN:** Strength of
22 evidence.

23 **DR. ARMANDLA RAMESH:** I think we need
24 to add a little bit in that sentence, something like

1 given the few number of studies available.

2 **DR. JIM MCMANAMAN:** That was Dr.
3 Ramesh. Dr. Parsons.

4 **DR. BARBARA PARSONS:** So following on
5 what Dr. Portier just said, it may be useful to focus
6 on the animal data, or the area that has the strongest
7 signal, which would be the malignant lymphomas.

8 **DR. KENNETH PORTIER:** And again, this
9 is why I was coming back to the consistency and
10 plausibility. Because, I think, you know, as I read
11 this, I was saying well, you know, we're seeing
12 something in the epi and the lymphoma, and then I'm
13 looking in the animal data. And I was listening very
14 carefully to what you guys were saying about the
15 quality of the animal data and the lymphoma and the
16 myelomas.

17 And so, we've kind of got to bring that
18 in and look at that coherence there. How do these
19 things stick together? And again, we're not all in
20 agreement on that, but we're just trying to help the
21 Agency look through that, again that logic, but now
22 it's the combined logic of the two.

23 **DR. JIM MCMANAMAN:** Okay. I think that
24 we're at our deadline hour. I don't know that we can

1 go much beyond what we've discussed. At this point,
2 let me go back to the Agency to ask, there was some
3 issues about clarification that they were going to
4 hold until the end. We'll ask Dana Vogel to -- I see
5 Anna Lowit bailed.

6 **MS. DANA VOGEL:** She had a family
7 obligation.

8 **DR. JIM MCMANAMAN:** Okay.

9 **MS. DANA VOGEL:** So just one thing. I
10 heard some different opinions, especially in the
11 weight of evidence at the end. It would be helpful,
12 especially considering that a few members had to leave
13 and are no longer here, for all the opinions to be
14 captured in the report, just so we have a full
15 understanding of what everyone think.

16 Because I think it, you know, from my
17 perspective, it's very important for us to understand
18 both sides of it and exactly what you're recommending.
19 I think because there's no consensus, that's going to
20 be the most important thing that gets written up in
21 the report for all section, including the weight of
22 evidence. That's it.

23 **DR. JIM MCMANAMAN:** Okay. All
24 right. At this point, the panel has one last

1 opportunity to make statements and express their views
2 about this session and about the presentations.

3 With that, I'd like to start by saying
4 I really appreciate the wealth of discussion and the
5 diversity of views that the panelists have expressed
6 with this. In some respects, it seems like a very
7 simple problem because it's not a really particularly
8 toxic compound. But it just goes to show you that,
9 you know, sometimes what seems pretty simple on the
10 surface is more complex when you look at it in detail.

11 And I really appreciate the level of
12 thought and work and effort that the Agency has put
13 into this. It's an incredible amount of work. I
14 mean, there's just so much data. I think the
15 panelists are saying, oh, my God, we're swimming in
16 data here. Too bad we don't have several weeks to
17 really fully get into this, because it is a lot of
18 data. And you guys had to provided it to us. I
19 really appreciate your efforts going into providing
20 the data.

21 I particularly appreciate also the
22 comments from the public speakers. We had really,
23 quite a diverse group of public speakers. And in some
24 respects, an entertaining group of public speakers.

1 And so, this has made this really an eventful meeting.

2 I appreciate the thought that the group
3 from Monsanto, particularly, put into the analysis of
4 this problem. I think it was very helpful, as well
5 some of the public -- I don't remember particularly
6 who it was, I don't see him here -- I can't remember
7 whether it was the Natural Resources Defense Council -
8 - but one of the guys, he put a lot of thought into
9 this, too. I really appreciate the other side of the
10 issue.

11 And finally, I'd like to express my
12 really sincere compliments and gratitude to the staff
13 for getting this all together, especially Steve Knott
14 and Tamue Gibson, for pulling all this together. This
15 is an incredible amount. And Laura Bailey for keeping
16 them organized and getting this all done. And Laura's
17 staff. This is great. And the stenographers. I
18 mean, she's back here -- you guys can't see it, but
19 she's got really long arms. She back here poking me
20 saying, "Will you get this under control?"

21 With that, I'll turn it over to Sonya.
22 I'm getting tired.

23 **DR. SONYA SOBRIAN:** It's getting late.

24 **DR. JIM MCMANAMAN:** Yeah.

1 **DR. SONYA SOBRIAN:** First of all, I'm
2 really sorry Anna's not here because I'd really like
3 to compliment EPA on all the work that they've done on
4 this. It's an amazing amount of work. And even
5 though we might disagree, we still do appreciate -- I
6 appreciate, I'm sure the rest of us do -- how much
7 work you put into getting this White Paper together.

8 It's been a most incredible three or
9 four days. I missed the public comments, so I missed
10 some of the entertainment. But this is a very
11 dichotomous issue and I think we've put a lot of time
12 into this. And I'm looking forward to seeing which of
13 the many recommendations you'll be able to take. And
14 I look forward to reading the next iteration of this
15 White Paper.

16 I'm finished.

17 **DR. JIM MCMANAMAN:** Kenny.

18 **DR. KENNY CRUMP:** Is this a good time
19 to give my bottom line appraisal?

20 **DR. JIM MCMANAMAN:** Sure.

21 **DR. KENNY CRUMP:** I just thought of one
22 thing that you might want to think about. We have all
23 this huge amount of data, but we focus attention on
24 the lymphoma in the mice. And I'm just thinking of

1 how ironic it would be if that drove our decision with
2 all the massive amount of data we have on this issue,
3 assuming that it's not compound related.

4 If two animals had been reassigned to
5 the control group rather than high-dose group in those
6 studies, we would not be discussing it at all because
7 noting would be significant. I just think that's
8 ironic if that would be something that would drive our
9 decision.

10 My bottom line, if I had to choose one
11 of those descriptors that EPA has thrown out, I think
12 I would go with not likely to be carcinogenic in
13 humans. I personally don't like that descriptor. I
14 really don't like "equivocal" either because surely,
15 we scientists can do more with all these data than
16 just say something is equivocal. I think that would
17 lead us to a lot of criticism and possibly even some
18 laughter, if that's all we can say with all this data.

19 But I don't like the, "not likely to be
20 carcinogenic in humans" because it sort of suggests
21 that we can prove a negative. I mean, we haven't
22 tested glyphosate in all possible configurations. And
23 even if it had been, there's always a chance there's a
24 small carcinogenic effect that we would overlook. I

1 would prefer a descriptor such as no credible evidence
2 that glyphosate is carcinogenic in humans. That's it.

3 **DR. JIM MCMANAMAN:** Next.

4 **DR. LAURA GREEN:** I just want to say
5 thank you.

6 **DR. ERIC JOHNSON:** Again, I appreciate
7 all the hard work in which EPA has done. Really, it's
8 a lot of work and I really appreciate that amount of
9 effort. I mean, I want to thank them for putting all
10 the work together.

11 I have to say that -- and this is a
12 dream and hope that we can have a better relationship
13 with industry when it comes to studying human
14 populations. We really need industry.

15 I've been in this business for quite a
16 number of years, and industry sees academia and other
17 independent research institutes as a fool, really.
18 And I hope there can be a change in the future in
19 which they do not see us as a fool. That we're all
20 trying to protect the human population, and
21 collaborate with us. Of course, we're all affected.
22 Even they, themselves, they're children are affected.

23 I really hope to see some change. Some
24 leadership in industry, to participate more and be

1 more cooperative and transparent, and help us to deal
2 with most of this. There are thousands and thousands
3 -- in fact, it's going to be even more important in
4 the future because there are thousands and thousands
5 more chemicals being introduced into the environment.
6 It's never going to be possible for us to evaluate
7 these chemicals without collaboration from industry.
8 Period.

9 **DR. BARBARA PARSONS:** This is Barbara
10 Parsons. I'm just going to say I appreciate having
11 the opportunity to express my opinions on this topic.
12 Thank you.

13 **DR. ARMANDLA RAMESH:** This is Ramesh.
14 Thank you, Mr. Knott, and other EPA staff, and also
15 the scientists of the EPA, for sharing their
16 viewpoint. The White Paper is not an easy document.
17 It takes a lot of effort and discussion with a lot of
18 people. Coming to that document as a guidance or a
19 reference point, made our job easier.

20 I also thank the industry
21 representative for giving their version of the story.
22 And also, the public speakers for educating us,
23 providing the ill-effects from a common man's
24 standpoint. Overall, it was a worthwhile experience,

1 serving on this panel, and I thank my fellow
2 participants for their cooperation.

3 **DR. KENNETH PORTIER:** It's Ken Portier.
4 For those of you who have done this for the first
5 time, I have to tell you, I've have done a lot of
6 these and rarely does the panel disagree as much as
7 this one has. And I don't want you to think that this
8 is normal. I think this is the situation; it's a lot
9 of data. And then I was sitting here thinking, the
10 last time this happened, the epidemiologists were at
11 the table too.

12 I think there's something about
13 epidemiology, and that EPA really needs to get that
14 2010 Guidance tightened up and move from draft into
15 something that's real guidance so it'll help us with
16 these conversations. I'm not saying it's the
17 epidemiologists; it the topic.

18 **DR. LAURA GREEN:** I have a friend who
19 is an epidemiologist at Boston University. He defines
20 epidemiology as, the arguing with other
21 epidemiologists.

22 **DR. LUOPING ZHANG:** I really would like
23 to thank you, Mr. Knott, and your team. You know,
24 really, to organize this, is actually difficult, the

1 most controversial chemicals we have to evaluate.
2 Also, I'd really like to thank, you know, all the EPA
3 scientist. I do think you have done the best you can.

4 I want to take this chance, I think,
5 maybe the one doctor who just left, maybe
6 misunderstood my comment, why I was quoting from my
7 EPA ethics training; probably it's always, it's public
8 trust. It's not what I mean to you guys; I mean for
9 our panel members. As we come, that's our job, you
10 know.

11 But also, I do really appreciate this
12 chance where, you know, I was on this committee. I
13 learned a lot. I learned a lot from the topic. I
14 also learned a lot from, you know, my panel members.
15 Like recall bias and all the biostatisticians too.
16 And those are issues maybe, of my own, I don't
17 consider that heavily. But I think now, you know,
18 it's a chance also for me to learn from EPA
19 scientists, and also for me to learn from everybody on
20 the panel.

21 Thank you all.

22 **DR. DANIEL ZELTERMAN:** This is Dan's
23 Zelterman. I have nothing to add. No, wait. No,
24 wait.

1 The Agency, you guys may be demonized
2 in the popular press, but I'm a big fan. I really
3 appreciate all that you've done in putting all this
4 information together. And then I was told this is
5 only the beginning, that you have additional panels
6 that have to consider this compound, and many other
7 compounds. It's already Friday afternoon and your
8 work is just beginning.

9 As for my fellow panelists, some of you
10 I'm seeing now for the second time and I really
11 appreciate everything, the heated discussions,
12 especially.

13 I learned a lot and I look forward to
14 ever crossing your paths again. This will be a lot of
15 fun. Thank you.

16 **DR. EMANUELA TAIOLI:** Thank you for
17 your work because it was amazing. Thank you for the
18 Chair and the friends I made. And I have to echo Dr.
19 Portier, this was the least boring SAP I have been in
20 my life.

21 **DR. LIANNE SHEPPARD:** Well, I want to
22 echo the thanks of everybody around the table; and
23 also, comment a little bit, stepping back.

24 As a member of the Clean Air Scientific

1 Advisory Committee, and having been on a couple of
2 IRA's panels. I've seen this process in a number of
3 different manifestations. There's clearly some
4 differences in how the realized process happened here,
5 from what I'm used to. But in general, they all fall
6 under the same umbrella, and the same principle of
7 public participation, and transparency, and openness
8 and good scientific exchange.

9 Ultimately, I think all of us are here
10 because we're interested in the public good. EPA and
11 its mandate is doing its job. And while sometimes it
12 seems a little adversarial when we challenge EPA, I
13 think it's ultimately incredibly valuable and
14 supportive of your mission to have scientists on the
15 other side of the table scrutinizing deeply,
16 everything you do. Because that allows you to rely on
17 our expertise to strengthen your work.

18 As we move forward, I guess we're all
19 mindful that that will be even more challenging for
20 you in the days ahead. I hope this process, from your
21 point of view, has also helped you do the best job you
22 can. Whatever we have done to challenge or question
23 you is all in the spirit of what we're all for, which
24 is the public good.

1 **DR. ERIC JOHNSON:** Yes. I would like
2 to specifically thank our Chairman for the way he
3 directed us throughout these four days. He really did
4 a very good job.

5 **DR. KENNY PORTIER:** Except that he
6 didn't give us lunch. Right?

7 **DR. JIM MCMANAMAN:** It seems, Ken, that
8 one time when you were Chair we didn't get lunch
9 either. It's Friday. We can go have a beer now.

10 Before we take off and go our separate
11 ways, we have a post-meeting, meeting in our room, to
12 discuss how we put together the final document.

13 Okay. Wait a minute.

14 **MS. DANA VOGEL:** Just really quickly, I
15 also wanted to thank all the panel members for the
16 lively discussion and the thoughtful deliberations.
17 We do appreciate all of your comments.

18 I want to thank the Chair. As everyone
19 has said, this is probably the most eventful SAP I've
20 been in over the years. I feel for the people who are
21 trying to do the transcription, especially what
22 happened during the public comment.

23 But we really do appreciate all your
24 feedback, all your input. And lastly, I would be

1 remiss if I didn't thank my team of scientists who
2 gave up at least six months of their lives, weekends,
3 nights in addition to working every day on every other
4 thing that they do, to, in my mind, pull off one of
5 the best scientific analysis. And they are some of
6 the best scientists I've ever worked with. I just
7 want to appreciate my team as well.

8 **DR. JIM MCMANAMAN:** We have Steve
9 Knott.

10 **DR. MARION EHRICH:** Am I on the line?

11 **DR. JIM MCMANAMAN:** Yes, Marion. We
12 forgot about you. Out of sight, out of mind. Okay.
13 We have Marion, please.

14 **DR. MARION EHRICH:** Okay. I've been
15 trying to say something since you made your comment.

16 **DR. JIM MCMANAMAN:** But I can't see you
17 wave your hand.

18 **DR. MARION EHRICH:** I've enjoyed being
19 on a panel with so much give and take and I appreciate
20 everything. Sorry I can't be there today, but that's
21 the way it goes. Best of luck as we try to write this
22 up with all the little controversies I wasn't
23 expecting.

24 Okay. I'm done.

1 **MR. STEVEN KNOTT:** Well, I want to add
2 my appreciation, along with everyone else. I would like
3 to thank Dr. McManaman for chairing this weeks' meeting,
4 and all of the members. I mean, this was really a heavy
5 lift. There were a lot of public comments and a lot of
6 information to go through and I really appreciate
7 everybody's effort.

8 I definitely want to thank OPP Science,
9 Dana, Anna, Monique, Greg, Anwar and Jeff for your
10 presentations, and being available to provide
11 clarifications. The presentations were very clear, very
12 helpful to the proceedings.

13 And I want to thank all the public
14 commenters who, I think, are no longer in the room, but
15 may be online, for all the really good feedback that
16 the panel received, and information that they received.

17 And again, I'll add my thanks to my
18 colleagues on the SAP staff, Laura, Tamue, Joyce and
19 Don, who is out front, and our transcribers. I think
20 that covers everyone, but I don't think we can say it
21 enough. Thank you. We really appreciate everyone's
22 efforts. And with that, the meeting is now closed.

23 **[WHEREAS THE MEETING WAS ADJOURNED]**

24 * * * * *